

P R O C E E D I N G S

1

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

26

27

MR. BILLY: If everyone would have their seats I'd like to get started. I'd like to thank everyone for coming. This is the third day of these meetings to have a discussion, a dialogue about major issues that have been identified and associated with the HACCP and pathogen reduction proposal the agency published back on February 3rd. Today's subject area is performance standards in microbial testing.

I want to make a few announcements first and then I'm going to propose a little different format for today that's going to be, I hope, one that will lead to more of a dialogue on the very specific issues.

In terms of the announcements, again, we do have an overflow room. It's 4347. If we're not overcrowded in this room but if anyone has people that otherwise wanted to attend but were worried about space or particularly people here in town we're broadcasting the meeting up into that room. You can observe and hear the activities and anyone's welcome to use that. We also have a caucus room, Room 3831, that's available. There's a sign-up sheet. If anyone wants to meet during a break or the lunch hour you're welcome to do that and just let the people at the desk know.

In terms of the schedule for the day it will be similar to the last two days. We'll go till about nine thirty or so. I'll then call a break for about fifteen to twenty minutes. We'll get started again and go till lunchtime and a similar pattern in the afternoon.

In thinking about today's session where we were starting out and narrow from a general discussion about HACCP or the role of inspectors, even though we got into some fairly specific areas, we're now starting to get more specifically into some of the technical

1 issues. We have -- if you look at the agenda we have here identified  
2 under A and B several specific points in the form of billets. Each of  
3 those billets really represent major issues that were raised in the  
4 comments -- you know -- concerns that were raised and so here's my  
5 suggestion on how I'd like to see -- have this work and we'll see how  
6 we do. It's still important that speakers use their table tents with  
7 their names on it and use them to identify when they're going to speak  
8 and when they do speak to state their name and their affiliation  
9 because this is on the record, it's going to be part of the formal  
10 record for the rule making. But instead of the sequential process,  
11 like I've used the last two days, once we do the introductory --  
12 introduce the -- our current thinking and so forth I'm going to go to  
13 this list of billets and start a discussion on each of them and then  
14 we're going to have a dialogue so I ask that each of you respect the  
15 others. In other words, we need to discipline ourselves in terms of  
16 taking turns but if there's a point you want to make about what  
17 someone just said please do that. It's going to be dialogue. This is a  
18 pretty big group so it's going to be hard to make this work but I'm  
19 going to try to make it work for all of us and we'll just have a  
20 discussion. You still will need to put your tent up to be recognized  
21 back here and then say what you have to say and keep an eye  
22 yourselves on who else is waiting to speak and have a sense of the  
23 flow of the discussion. We're going to try and see if that will work.  
24 There will just be a dialogue about these specific issues that all of  
25 us have identified and if it works then we'll just use it. If it doesn't  
26 I'll draw back to the past approach.

27 Are there any questions about that? Okay.

1 Yes, Rosemary?

2 MS. MACKLOW: Can I just ask --

3 MR. BILLY: Sure.

4 MS. MACKLOW: You're obviously recording all of this and also  
5 videoing it or just recording and the video is going to transfer?

6 MR. BILLY: I think the video's just instant transfer to the best  
7 of my knowledge. It's just so that it's available on the LAN system  
8 here for the room upstairs or anyone else.

9 MS. MACKLOW: What's the availability with the tapes or copies?

10 MR. BILLY: The tapes will be available, I think, about two weeks  
11 -- is that right? Who knows? Two weeks.

12 MR. TAYLOR: Video tapes or transcripts.

13 MS. MACKLOW: Oh, I understand you're going to transcribe it and  
14 have somebody -- I know that's going to take time.

15 MR. BILLY: Is there a tape of this?

16 COURT REPORTER: We're taping it.

17 MR. BILLY: Okay. Let me check into it and I'll get back and tell  
18 you because I didn't even know we were doing this. Okay. I'll let you  
19 know.

20 All right. I think Mike, again, wants to kick off today's  
21 discussion so, Mike.

22 MR. TAYLOR: I just will just take one minute here to open up the  
23 conversation and then Dr. Morris will talk in some detail about our  
24 current thinking. But for those of you who have both read the  
25 proposal from February and read this paper -- you know -- it's  
26 evident that -- and I think actually listening to the conversation the  
27 last two days sort of reflected this as well -- we see microbial

1 testing to verify achievement of certain performance standards to be  
2 an integral part of a HACCP-based system for controlling and  
3 reducing harmful bacteria on raw meat and poultry products and we  
4 well recognize that this concept is a very significant departure from  
5 the traditional regulatory paradigm that we have used to oversee the  
6 safety of meat and poultry products but it is one that is designed to  
7 harness the science-based process control tools that, again, are  
8 embodied in HACCP and to provide an incentive for an improvement of  
9 process control by having objective measures of performance against  
10 which we can both verify that process control is working in the  
11 general sense and also have means for measuring and having  
12 accountability for reducing pathogens -- reducing harmful bacteria.

13 The proposal really put the burden of both verifying process  
14 control and measuring reduction of pathogens on a single organism,  
15 salmonella. And if you, again, from reading the paper, we've learned a  
16 great deal from the comments and from the scientific conferences  
17 that we've had. We've had two conferences really that addressed this  
18 issue -- the Micro Testing Conference in Philadelphia and the  
19 Performance Standards Conference here at Georgetown and I think  
20 we've learned a great deal about the role of microbial testing, the  
21 way to make good use of it, and the way the performance standards  
22 can be built into the system and what we learned at those  
23 conferences as well as through written comments is very much  
24 reflected in the current thinking that you see in this document. This  
25 is thoroughly a work in progress. When we say current thinking we  
26 mean this is today's current thinking. We've worked hard to sort of go  
27 through a thoughtful process in the agency since the close of the

1 comment period to arrive at this but we have been very much looking  
2 forward to today's session as a way to really -- you know -- have a  
3 serious conversation about how we can achieve the objectives of the  
4 proposal in this area. And we remain very open to how we achieve  
5 these objectives and if there are better ways to achieve the  
6 objectives than we've currently arrived at, I mean we welcome that.  
7 The idea is achieving the goal. We're not wed to how we do it. But,  
8 again, all of us have been looking forward to today's conversation and  
9 I particularly -- I just -- I hope Tom's approach to fostering dialogue  
10 works because from our standpoint we want to put out these thoughts  
11 and Glenn will walk through -- you know -- walk through our current  
12 thinking. But what would be most beneficial for us is to have  
13 interaction with you -- between you and the agency -- but even more  
14 so sort of among folks who have competing points of view because  
15 it's really going to be out of that dynamic exchange that I think will  
16 get the best insights as to what the pros and cons and shortcomings  
17 and advantages of our current thinking are and so, again, we're looking  
18 forward to today's conversation and the discussion.

19 I want to acknowledge, in addition to Dr. Morris who will speak  
20 in a moment, the presence of Dr. Maury Potter from CDC who is  
21 graciously investing his time up here for these meetings representing  
22 CDC and Maury's expertise and familiarity with these issues is well  
23 known to everybody and we're happy to have our sister agency at the  
24 table today.

25 With that, if there any just immediate questions, comments, I'd  
26 be happy to take them. Otherwise, maybe the best thing to do is let  
27 Glenn convey our current thinking. Thank you.

1 DR. MORRIS: Yesterday I got the honor of conveying current  
2 thinking and, again, I would emphasize what Mike says, which is that  
3 this is indeed current thinking and in contrast to -- you know --  
4 yesterday where we had answers for at least some things I think in  
5 many of the things we'll talk about today this is current thinking and  
6 we do have the answers and what I would ask is for your ideas and  
7 concepts and your suggestions to specifics as we begin to go through  
8 this.

9 What I would like to do is at least lay some groundwork to give  
10 you a feel for what our thoughts were as we put the rule together and,  
11 in turn, what our thoughts are at this point in time as we've begun to  
12 assimilate the information that's come to us from the various  
13 scientific conferences and the comments that have been coming in.  
14 When we first started putting the rule together there were sort of  
15 two underlying concepts which went into the idea for microbial  
16 testing. One was the need for pathogen reduction. The idea that there  
17 was an immediate need to reduce the level of pathogens on foods, to  
18 deal with the specific public health issue of pathogen reduction. The  
19 second concept was the importance of microbial testing and process  
20 control. The idea that if you're doing process control to attempt to  
21 produce a safer food that you have to do microbial testing; that is an  
22 integral part of the process control. In trying to unify these two  
23 different concepts we proposed salmonella idea which was in the  
24 Federal Register document which was to essentially use a pathogen  
25 as a means of moving toward pathogen reduction targets but sort of  
26 getting -- you know -- double use for our organism to also use it as a  
27 process control indicator -- to do two different things as a single

1 organism. We identified a target for salmonella. Now identifying  
2 targets is always fraught with difficulties but in this case the  
3 targets which we identified were in many ways technology-based.  
4 What we said was maybe the best way to approach this is to look at  
5 the current national average. Now the thing about an average is that  
6 if you have an average that means half the people are better and half  
7 the people are worse and if we are moving toward pathogen reduction  
8 our concept was, well, as a first cut that it would be reasonable to  
9 use the national average as our target. The idea was to move the half  
10 of the production which was above the target to the target or below  
11 the target and, so, again, it appeared to be a reasonable target to set  
12 as a starting point for pathogen reduction. The other issue that came  
13 up in setting salmonella and plans that went into the current  
14 pathogen reduction document was the idea of whether we use a level.  
15 In other words, "x" number of CFU per mil or however you worked it  
16 out or whether we should use a percent of product. Again, coming at  
17 this from a public health standpoint we felt that what we really  
18 wanted to look at from a public health standpoint in terms of  
19 pathogen reduction was percent of product; that bacteria multiply if  
20 they are mishandled and what we really wanted to do was reduce the  
21 percent of product that carried the bacteria. Taking broiler chickens  
22 -- not to pick on the chicken industry -- but using broiler chickens as  
23 an example, the current average is twenty five percent, and so what  
24 we wanted to do was to try to bring everyone to that average with the  
25 idea that we wanted to reduce the risk that a product containing a  
26 pathogen would go into a household, go into a kitchen, go into a  
27 restaurant. So those were the ideas. Those were the concept behind

1 the current proposed reg.

2 As we went into these meetings there are several things that  
3 have emerged from the comments and from the scientific meetings.  
4 The Philadelphia meeting strongly emphasized the utility of E. Coli as  
5 a process control indicator. That was very clear from Philadelphia.  
6 That was very clear from the Georgetown meeting. That is also clear  
7 from the comments. And as you will see in the document that we  
8 passed out yesterday I think that's where our thinking has moved; that  
9 if we're going to do process control that E. Coli -- quantitative E. Coli  
10 is probably the best indicator for process control. However, the other  
11 thing that emerged, particularly from the Georgetown meetings, was  
12 the importance, still, of pathogen reduction. And, so, I think to give  
13 you a feel for where our thinking is right at the moment, the idea  
14 would be to separate out these functions, to recognize that -- you  
15 know -- we were going through a one size fits all at the beginning and  
16 trying to get one organism that would -- you know -- provide  
17 everything we wanted and that maybe that's not the correct approach  
18 and to recognize again that there are two different motivations that  
19 are -- you know -- driving this and to say we will use E. Coli as a  
20 process control indicator. Potentially we will mandate the use of E.  
21 Coli as a process control indicator in the short term and, again, this  
22 would get into the same discussions of sunseting into HACCP and the  
23 other discussions that have occurred over the last few days. But the  
24 idea is that E. Coli would be used as a process control indicator.  
25 Potentially, we would set critical limits for E. Coli for specific  
26 products. Again, the concept here is that we would set the critical  
27 limit but this would be a critical limit in a HACCP program or in a



1 HACCP concept that would be handled as any critical limit and that it  
2 would be the responsibility of a plant to do the monitoring. It would  
3 be the responsibility of the plant to respond to deviations from  
4 critical limits. So essentially the goal here would be to say there  
5 must be a microbial testing as an element of a HACCP program. But  
6 we would say E. Coli appears to be a reasonable process control  
7 indicator and, again, we would establish critical limits but the same  
8 discussions we've had about critical limits with regard to other  
9 critical limits could be had about the limits we would set or the use  
10 of those limits for E. Coli. Let's step back and say, well, all right,  
11 how about pathogen reduction. And that's the other side of it. And I  
12 think if you look at it conceptually the key behind HACCP is pathogen  
13 -- is identification of hazards. Pathogen reduction is a means of  
14 seeing whether your HACCP program's working or as a pathogen  
15 reduction target is something toward which a HACCP program can be  
16 designed so the concept would be, again, that we would maintain  
17 pathogen reduction targets -- potentially the same targets we have in  
18 the current reg -- that they would be phased in over a period of time  
19 and that HACCP programs would be designed to meet those targets.  
20 We, as an agency, in validating HACCP plans, would have the right to  
21 go in and monitor to see if a specific plant met our pathogen targets.  
22 Now this might be monitoring over a month. This might be monitoring  
23 -- you know -- I mean I'm not sure how we would set up this specific  
24 monitoring program -- but the idea is to potentially on a random  
25 basis we could walk into a plant and say is your HACCP plan meeting  
26 our pathogen target. If the plan does not meet the pathogen target,  
27 i.e., if the pathogen target is exceeded we would indicate there was a

1 problem with the plan. Again, we're not talking about lot acceptance.  
2 And I would say from the beginning, none of this is lot acceptance.  
3 What we're talking about is a way of assessing the ability of a plan to  
4 meet a specific pathogen target. We would maintain the pathogen  
5 targets. They would be the key to what we're doing because we want  
6 to ultimately reduce disease so there would be pathogen targets but  
7 we do the sampling to see if there was compliance with the pathogen  
8 targets and, again, the pathogen target would serve as a means of, if  
9 you will, validating the HACCP plan. Having said all of this, again, I  
10 would emphasize that this is current thinking and one of the things  
11 we want to do today is get feedback from you, to get ideas from you.  
12 This is where our thinking as led us -- this concept of separating out  
13 a process control indicator from a pathogen reduction indicator,  
14 recognizing we have both of these objectives. But I think at this  
15 point probably we should say, what are the comments?

16 MR. BILLY: All right. Again, the way I'd like to do this is to  
17 approach it, taking into account what Glenn has just outlined, and  
18 work through these billets and hear dialogue about what Glenn has  
19 said and what's captured in the paper in the context of these major  
20 issue areas. So the first area then would be a discussion about the  
21 scientific and policy basis for establishing targets. So let's begin.

22 MR. TAYLOR: Can I clarify what the issue is?

23 MR. BILLY: Sure.

24 MR. TAYLOR: We -- we now are talking both about having a  
25 process control indicator target or standard and a pathogen reduction  
26 target and presumably we ought to be talking about the scientific and  
27 policy basis for both.

1 MR. BILLY: Okay. Bill?

2 MR. BROWN: Okay. Are we ready? Okay. Thanks, Tom. Thanks  
3 for the opportunity to comment. Bruce keeps telling me age before  
4 beauty so I guess I qualify.

5 I own a testing lab -- ABC Research. We've got about twenty  
6 five microbiologists, thirty chemists. We have USDA inspected pilot  
7 plant and I'm also, in my spare time, Executive Director of  
8 Southeastern Meat Association.

9 Our lab has been involved with USDA and industry for about  
10 twenty eight years. We developed the 70 salmonella data for roast  
11 beef. We've developed heat resistant data for listeria monostagonese  
12 (phonetic sp.) and E. Coli. I'd like to compliment the USDA technical  
13 group on the contributions they've made and significant  
14 microbiological technologies over the years -- listeria methods  
15 they've developed, campilobacter (phonetic sp.), baseline studies, just  
16 to name a few. I think in the last two days we've had a discussion on  
17 HACCP and pathogen reduction and I think we need to separate those  
18 two because I sense that in some cases they've been put together. I  
19 think we need to remember, as Dr. Morris just pointed out, that HACCP  
20 is process control developed by industry for preventing safety  
21 problems. This includes microbial as well as chemical and physical.  
22 And in order to do this, you really need binding specifications, you  
23 need sanitation documents, and you need standard operating  
24 procedures, and you need GMP's. Pathogen reduction, as the name  
25 implies, is reducing the pathogens. Now, the pathogens come from  
26 animals, granted. They also come from the environment, they come  
27 from people, and as the last couple of years have indicated, they come

1 from water. When I went to school about a hundred years ago we had  
2 three pathogens. We had salmonella, staph, and clostridium that we  
3 talked about. At last count, we're up to probably thirty seven  
4 pathogens and we're increasing so it's difficult to get a handle on the  
5 pathogen reduction. We also need some help on both sides of the food  
6 processing plant if this goal is going to be accomplished. We need to  
7 have a farm to table type attitude here if we're really going to reduce  
8 the food poisoning incidents. Frank Bryan's data published in the  
9 Journal of Food Protection indicates that sixty one percent of the  
10 food poisoning cases can be traced back to food service; thirty two  
11 percent, homes; and seven percent to food processing plants. Now, in  
12 raw product, I think the food processing plants do need to maintain  
13 low numbers of pathogens but that's about as far as they can go. We  
14 need to work with the animal producers, the truckers, the livestock  
15 markets, to reduce the number of positive pathogen animals that are  
16 coming to the plant. We need educational help in the restaurants and  
17 the home to improve food safety. Working with one restaurant chain,  
18 they have two hundred thousand employees, they have an admitted  
19 two hundred percent turnover, so the educational opportunities are  
20 great on that end of the chain.

21 Salmonella has been identified as the pathogen to measure for  
22 the purpose of determining the effectiveness of process control. We  
23 have some problems with salmonella. A lot of the plant micro labs  
24 are located in the middle of the processing plant and it's been our  
25 opinion all along that we don't need to have salmonella testing in the  
26 middle of a processing plant. I don't think it's a good idea to do that  
27 testing in the plant.

1           The cost is a factor for yes or no on salmonella. To determine  
2 the incidence will cost probably twenty six to twenty nine dollars per  
3 sample. If we do most probable numbers to determine how many are  
4 there it's many times its cost. Using the alyser procedure or any of  
5 the rapid methods a negative takes twenty four to forty eight hours  
6 and firm positive about five days. In comparison with that E. Coli, the  
7 cost is twelve to fifteen dollars. Total count, probably twelve to  
8 fifteen dollars.

9           One salmonella per twenty five grams is positive. Ten thousand  
10 salmonella per twenty five grams is positive. There's no distinction  
11 on the number of organisms so that presents a problem. The number  
12 applies to -- especially in poultry -- is related to the incidents in the  
13 flock and we seem to have lost sight of the fact that the processing  
14 plant can reduce the numbers but they probably cannot eliminate  
15 them. In all salmonella strains, I've been told, are not pathogenic to  
16 humans.

17           Now, this is not good for business but I think we ought to use E.  
18 Coli, as Dr. Morris has indicated, and probably even total count in  
19 some cases, and this total count should be done at twenty degrees  
20 centigrade. And if the agency needs to measure salmonella I think  
21 that's the appropriate place to do salmonella testing.

22           The meat and poultry industry, I think, needs to be congratulated  
23 for reducing the incidence of pathogens and total microbial level on  
24 products over the years. Thirty years ago, probably our counts on  
25 ground beef were ten to the fifth or ten to the sixth. Recently they  
26 are ten to the three, ten to the four. And listeria, also reported by  
27 CDC, has been cut in half. On smaller plants, I think the best way to

1 reduce the burden on costs is to collect samples for a week and then  
2 composite these five samples. Now, it would certainly take longer to  
3 get the data but you also have a lower production rates on the plants.  
4 We also need to maintain a lactic acid fleuro spoilage organisms. I  
5 don't think we need to reduce microbial number to zero on raw meat  
6 and poultry.

7 Thanks for the opportunity.

8 MR. BILLY: I'm going to ask Pat to clarify a little bit in terms of  
9 what Glenn talked about in terms of the current thinking and  
10 particularly with regard to testing with regard to salmonella. I think  
11 it will help.

12 MS. STOLFA: Thanks very much. Bill, we very much appreciate  
13 your comments. I do think we'd like to make clear that in our current  
14 thinking, in fact, we are both separating the testing to measure  
15 achievement of the process control objective from testing to measure  
16 pathogen reduction and we are also separating who takes lead  
17 responsibility for that and so our current thinking is that the E. Coli  
18 testing to measure achievement of process control would continue to  
19 be an industry responsibility and, again, as Glenn mentioned, where  
20 we haven't worked out all of the details about that, but we'd certainly  
21 like to hear from you, and our notion is that the salmonella testing to  
22 measure pathogen reduction would become primarily the agency  
23 responsibility. Now, that's not to say that we would discourage  
24 companies from doing their own salmonella testing but the agency  
25 would take the responsibility for doing that testing and I just -- that  
26 is a significant change from what's in the proposal and maybe you  
27 want to tell us that we should stay with the proposal or maybe you

1 want to tell us something totally different but I think we want to  
2 make sure that we've been as clear as we can about -- about the  
3 change that we've put in here.

4 DR. MORRIS: I would also note that in terms of the E. Coli what  
5 we would envision at this point is we would indeed use a quantitative  
6 test. So, again, the idea is that potentially we would use a  
7 quantitative E. Coli test as a measure of process control but, again,  
8 that would be the plant. The plant would be using that as a measure  
9 of their process control with, again, potentially the establishment of  
10 critical limits. But it's a different conceptual framework than what  
11 -- than the concept of using salmonella as a means of moving toward  
12 pathogen reduction and as a means of assessing potentially the  
13 validity of a HACCP plan.

14 MS. DEWAAL: Caroline Smith Dewaal, Center for Science in the  
15 Public Interest. My first response is simply that the reason we aren't  
16 talking about testing for lot acceptance is because the technology --  
17 the rapid microbial testing technology doesn't exist today. That's the  
18 only reason that we're not talking about it for lot acceptance. We  
19 understand that. We have endorsed testing -- microbial testing for  
20 process control, not a holding test scenario, but a testing for process  
21 control. But I do want to remind the agency that is the only reason  
22 that we are not using it for a more stringent enforcement purpose.

23 Now, the reason the technology doesn't exist is because despite  
24 the fact that the National Academy of Sciences told this agency in  
25 1985 that they needed to push HACCP forward and they needed to push  
26 for development of rapid tests that was not done. We are now looking  
27 ten years down the road at the fact that a lot of the tests don't exist

1 or where they do exist they're too expensive to mandate their use on a  
2 regular basis. My concern about the proposal that you put on the table  
3 today is, number one, it doesn't -- if the industry is doing -- is  
4 required to do E. Coli testing for generic E. Coli where the tests exist  
5 and they're relatively cheap and the agency is required to do testing  
6 for pathogen development, I think it's going to slow the development  
7 of the pathogen specific tests. That's my number one concern. There  
8 won't be the demand for them and it's going to slow down the  
9 development of the technology.

10 The second is that I have very significant concerns about the  
11 agency's ability to fund a major testing program for pathogens and  
12 this really comes from my experience with the Food and Drug  
13 Administration where the agency can have the best standards in the  
14 world, assuming they did, but if they don't have the money to test for  
15 them it's meaningless from a consumer perspective and we end up  
16 with a system where, as Dr. Kessler has so well articulated, we have  
17 them chasing the horses after they've left the barn and USDA will be  
18 out running around like they are today trying to figure out how to  
19 address pathogens in the food supply after they're already in the food  
20 supply. I think -- I mean I think your proposal is very interesting but  
21 my concern is that unless you mandate pathogen specific testing by  
22 the industry it's really going to slow down a lot of things including  
23 the pathogen reduction goal that you have.

24 MR. BILLY: Speak up.

25 MR. JAN: Lee Jan with -- representing the State Director's Meat  
26 Poultry Inspection. I'd like to applaud FSIS's direction or redirection  
27 to select an organism like E. Coli. It's my opinion that that's the true



1 test of process control. If we go and look at specific pathogens to  
2 determine process control we miss the other pathogens that we're not  
3 looking for and all species -- all animals -- do not carry the pathogen  
4 that we're looking for at all times so we're not testing process  
5 control and we have a lot of false positives. I think that that's great.

6 I would have some questions. The records, as you're proposing  
7 the E. Coli testing at this time, would those records remain in the  
8 plant for review by the inspectors or would they be submitted for  
9 some -- for -- like was first proposed that salmonella submitted for  
10 some kind of data collection? I would think that probably in this case  
11 keeping them in the plant as we proposed for HACCP would be the way  
12 to go. And I would also want to insure that if we're talking about  
13 doing this almost like a mandated mini HACCP or a portion of HACCP  
14 almost immediately that -- that the frequency of testing be  
15 established by the plant's needs so the plant that doesn't kill very  
16 many wouldn't have to test necessarily every day.

17 MS. FOREMAN: Tom, may I?

18 MR. BILLY: Sure.

19 MS. FOREMAN: I want to go back -- I'm sorry -- I'm Carol Tucker  
20 Foreman. I'd like to go back for a minute to kind of a basic principle  
21 which is that this is supposed to be a public health program. Ninety -  
22 - the Food Marketing Institute, which has been surveying public  
23 opinion on food safety and cost issues for about twenty five years  
24 now, has put a question about bacteriological contamination of food  
25 into their survey for the first time this year and as a result -- the  
26 response they got was that ninety one percent of their very large  
27 sample -- over two thousand people -- found that bacterial

1 contamination of food was either a serious or a very serious public  
2 health problem. I think that means that we have a problem that has to  
3 be responded to very forcefully by the Department of Agriculture  
4 saying we are going to take steps to protect public health. Process  
5 control is important but actions that protect public health have to be  
6 the goal, the primary goal, the secondary goal, and I'd even say the  
7 tertiary goal of this program. The taxpayer spends six hundred  
8 million dollars a year to inspect meat and poultry. Every piece of  
9 meat that goes out of an inspected plant carries a seal that says that  
10 your United States Department of Agriculture endorses it. And they  
11 created the Office of Under Secretary of Agriculture a couple of years  
12 ago. The Department of Agriculture last year -- the Department of  
13 Agriculture -- the Congress specifically said we're going to have a  
14 public health program within the Department of Agriculture. That  
15 changes, I think, by law a lot of history here that says this is a  
16 quality control program, this is an animal disease prevention  
17 program. This Congress has now said this is a public health program.  
18 Where process control may lead to a reduction in public health  
19 problems but I think the Department's under an obligation to make the  
20 pathogen reduction aspects of your proposal the primary aspects of it.  
21 You are not going to require each plant to test for salmonella or some  
22 other human pathogen each day. Therefore, all of this product is going  
23 to pass out of plants with a seal on it that says inspected for  
24 wholesomeness which, whether we like it or not, clearly the public  
25 believes says that it's safe to eat. They may not believe it anymore  
26 but that's clearly what it was intended to convey. And the product  
27 may not have been tested at all and there may not be any -- any

1 assurance, any indication, any even incentive to make sure that it's  
2 pathogen -- as pathogen free as possible.

3 I'd like to follow on to what Caroline said and that is that we've  
4 been supportive of the direction the Department's going on the testing  
5 up to this point because it's clear that history makes it not possible  
6 for you to go much further. History should also not let you take a step  
7 backwards at this point. For many years the Department argued that  
8 it had no authority to regulate pathogens in raw meat and poultry. I  
9 think that it is clear that that wasn't the case originally. I think the  
10 courts have indicated now that it is assuredly the case that you can  
11 and I don't believe anybody's really arguing seriously. The question is  
12 how we get there. We would be able to do it better if the Department  
13 didn't have this unfortunate history of saying it didn't have the  
14 authority so it discouraged the development of tests and mechanisms  
15 to control pathogens and to regulate the pathogen levels in food.  
16 Somewhere along the line you got to take the bit in your mouth and  
17 get over twenty five years of misjudging history -- twenty years --  
18 and get on with doing what the National Academy of Sciences  
19 recommended in 1995.

20 If Congress has already cut forty million dollars out of your  
21 budget for this year I don't where the Department thinks it's going to  
22 get money to train inspectors to implement this new inspection  
23 program and for the Department to implement a salmonella testing  
24 program. We obviously would like for the Department to come along  
25 and have its inspectors check from time to time the accuracy of the  
26 testing done by plants but to have you rely only on USDA testing and  
27 not require plants to do some salmonella testing I think diminishes

1 the value of this proposal very substantially, even if it is funded and I  
2 think you have trouble having it funded.

3 One last point here. I think it's an invitation to unfair  
4 competition. Those plants that want to do it right, the companies  
5 that care will be doing salmonella testing regularly. The bottom  
6 dwellers -- the people that you were after by setting the industry  
7 median as a starting point here will be free, and I'm sure none of  
8 them are in this room because surely nobody would come here if  
9 they're among that group they wouldn't be here participating in this,  
10 but they're there. It's clear they're there. The median is the median.  
11 Half of the people are down there. And they should not be allowed to  
12 continue to put those people who do it right at an economic  
13 disadvantage and to be a threat to public health by continuing to  
14 operate the way they have in the past. And this process of having  
15 USDA do all of the pathogen testing really relieves that particular  
16 group of the responsibility that ought to be their's.

17 MR. BILLY: Dane and Rosemary sort of had their flags up. Okay,  
18 Dane, go ahead.

19 MR. BERNARD: Dane Bernard, National Food Processors  
20 Association. First of all, I'd like to say that having Caroline sitting  
21 beside me during this discussion is probably not the best situation  
22 because if I get too far out of line she's liable to do me in over here  
23 because Caroline and I have discussed this topic many, many times  
24 and I think in order to get along we've agreed to disagree on this  
25 particular point.

26 Let me go back to Dr. Morris' presentation, if I might. He  
27 mentioned two concepts as our starting point. The first, I don't think

1 anybody has any disagreement with, and that's the need for pathogen  
2 reduction. That was the first point. I don't think anybody in this  
3 room has any doubt that that's what we're about. The second item he  
4 mentioned was -- the second concept was on the importance of  
5 microbiological testing in process control. That phrase mixes two  
6 things which -- you know -- as we go down this debate, and obviously  
7 there will be a lot of things said, I think we should consider that  
8 process control and Carol said that pathogen reduction may be  
9 achieved by process control, I think if we are to commit to HACCP  
10 that is what HACCP is about. By having better control of the  
11 processes through good well established HACCP plans we will achieve  
12 pathogen reduction. I said yesterday that in too many food plants  
13 what we have done is reduce the number of critical control points to  
14 one and that was whatever the inspector wants us to do at that  
15 moment in time that day. I submit to you that if we put too much  
16 weight on microbiological testing we will achieve the same thing.  
17 The focus will become the microbiological results of the day, not the  
18 proper emphasis on HACCP. I said yesterday, everything we do from  
19 this point on if we're to commit to HACCP should support it. My  
20 contention is and has been that setting rigid microbiological  
21 standards then becomes the target, not continual improvement  
22 through process control which should be the target of HACCP. Now,  
23 having said that, microbiological testing has a role to play. We have  
24 never denied that. That role will vary depending on what industry  
25 segment and what product you're talking about. Could that include  
26 pathogen testing? It could. But it depends on where you're talking  
27 about, so my feeling is yes, microbiological testing is important. It

1 has a role to play but we should not through our efforts make that the  
2 central focus so that it subverts all of our efforts in trying to put in  
3 a process control system which, as Lee Jan said, will set us up for  
4 the next challenge. If we target specific pathogens and that's our  
5 target we're only testing for what we know today. We need a system  
6 that's responsive to tomorrow and the next bug that Mother Nature  
7 throws at us or the next challenge it throws at us. And unless we get  
8 to the point where we have good process control and we have  
9 educated people running the plants and get the good training on line  
10 we're not going to be there. So I think we need to look at  
11 microbiological testing in that light.

12 MS. FOREMAN: May I ask Dane a question? Dane, what do you do  
13 with a plant whose -- where their desire for the end result is a  
14 product that is of low microbial quality as well as low shelf life  
15 quality? What do you do with the plant whose standards fall below  
16 what we all think would be appropriate to go out with a USDA seal on  
17 it and they set up a HACCP plan to reach that goal? They have process  
18 control but should it have a USDA seal on it?

19 MR. BERNARD: I won't comment on the situation with the seal,  
20 Carol, but in terms of a sub-standard operation nobody in this room is  
21 going to come in here and defend this kind of operations. We heard  
22 some horror stories the other day. The agency nor the industry should  
23 have to put up with those kinds of situations. We support appropriate  
24 action to get those kinds of people out of business and -- or to make  
25 them correct their operations. We talked yesterday about validation  
26 and the need to be crystal clear what we mean by validation of HACCP  
27 plans and that has to have some performance criteria attached to it.

1 We're going to talk today about performance criteria but those can  
2 take many forms and end product microbiological specification may  
3 be a proper form for certain items in certain areas. By the same  
4 token, performance criteria which would achieve and accept end  
5 result may take other forms but the central focus is no, we should not  
6 defend sub-standard operations and we should do what we can to get  
7 those out of existence. And we're not going to utilize if we allow  
8 HACCP to become a disguise for a product that should not go on the  
9 market then we have not completed our mission. HACCP cannot be a  
10 stamp of approval for product that should not go on the market.

11 MR. BILLY: I think what I hear in Carol's question in that  
12 example the -- in that circumstance what -- how would -- if it's the  
13 agency or the state role then to take action to prevent that and they  
14 have a HACCP program which they're meeting but she characterized it.  
15 The products the products they're producing are below a standard  
16 industry and government would like to see, what leverage -- where  
17 would the leverage come from to take action, whether it's in  
18 verification or however under a HACCP scenario absent setting some  
19 sort of limit that would be a measure of effective process control? I  
20 mean how would you do it? You'd look for something in sanitation or  
21 search? How would you make it work?

22 MR. BERNARD: I'm not sure exactly where that sub-standard  
23 product would come from. If we have -- and I've said before that we  
24 need good HACCP plans. We just don't need pieces of paper that look  
25 like HACCP plans. We need good HACCP plans. If they are good plans  
26 and they are validated and you have built in to those HACCP plans  
27 performance criteria like we talked about yesterday -- a 70 cook for

1 salmonella, for example.

2 MR. BILLY: How about for raw product?

3 MR. BERNARD: And if we're observing that HACCP plan we should  
4 be producing good product. If, on the other hand, the plant has a good  
5 HACCP plan and is following those kinds of things but not doing a good  
6 job on the basic programs, the sanitation, the inspectors should be  
7 able to pick up those kinds of things on an audit and take action. You  
8 know -- there are several vehicles for dealing with those kinds of  
9 situations.

10 MR. BILLY: I appreciate what you're saying but we're not  
11 answering the question.

12 MR. POCIUS: Tom, can I? Let me jump in there.

13 MR. BILLY: Sure.

14 MR. POCIUS: This is Joe Pocius with the National Turkey  
15 Federation. If you set performance criteria for the HACCP plans vis a  
16 vis the E. Coli, then they have to meet that or else their HACCP plan,  
17 as I understand the proposal, would be invalidated. They'd had to go  
18 through a revalidation and all that. They can't operate at that sub-  
19 standard. They have to meet that performance criteria. And what I  
20 understand the real question to be is not performance criteria based  
21 on E. Coli but rather one based on salmonella. And I have a question to  
22 the agency on that. It seems that -- my concern is how will the  
23 salmonella data that you collect be used? If you compare it to a set  
24 of numbers or -- let me get my notes straight here -- will you use as  
25 a comparison to a set of -- a set standard or will you use it to  
26 actually measure pathogen reduction? If you're looking for pathogen  
27 reduction, even on a national basis, then you have to look for the trend



1 within the industry because that gives you your trend to reduction. If  
2 you set it to a specific number, in particular, as Glenn had mentioned,  
3 a percentage rather than a quantitative number, then what you've  
4 really said is zero cause you've either passed or you've failed. If  
5 there's one there you fail. Zero is your tolerance. Everyone in this  
6 room at one time or another has recognized publicly that zero is not  
7 achievable. So my concern there is how are you going to use the  
8 information on salmonella that you collect?

9 MR. TAYLOR: Joe, let me take a shot at just conveying, again,  
10 current thinking so that these deliberations and the use to which we  
11 put salmonella results under this construct really builds on the  
12 proposal. And as you recall in the proposal what we said was that we  
13 would set targets for incidents of salmonella contamination for each  
14 species based on what we know about current incidents. And we  
15 proposed setting the percent incidents target somewhere below the  
16 current average on the theory that if every plant currently operating  
17 across the spectrum above and below the current average could be  
18 held accountable for meeting the current average that would achieve  
19 in the aggregate pathogen reduction in the sense that the national  
20 average percent incidents would come down and consequently the  
21 quantity of product going out in commerce with detectable salmonella  
22 would decline and have a public health benefit. The idea as proposed  
23 was that the plant would do daily testing to verify whether their  
24 processes were operating under control to achieve that target on a  
25 consistent basis and the preamble laid out our intention in monitoring  
26 these data being where the results of being in a position when the  
27 evidence says that the plant is not consistently controlling the

1 process to achieve the target then the remedy would be that we would  
2 require the plant to address the process control problem that was  
3 resulting in a failure to achieve that target. What we're thinking  
4 about is, again, limiting the plants' testing to E. Coli as a fecal  
5 contamination indicator. Having a performance standard for  
6 salmonella that conceptually is identical in terms of the use to which  
7 it would be put to what we proposed except that the testing  
8 obligation and compliance monitoring, if you will, would be the  
9 agency's regulatory responsibility in effect and we would have to  
10 devise strategies for going in and testing ourselves. It might be very  
11 different. In fact, again, this is where our thinking is not as  
12 developed as it is on other concepts. We'd develop some way of going  
13 in and testing in effect to enforce these targets and then what we  
14 would do with the results if we find that a plant is not meeting the  
15 target we would require correction in their process control to  
16 achieve the target and --

17 MR. POCIUS: Right. And we have no -- matter of fact, I have no  
18 arguments against what you've said. It's how the agency may go about  
19 doing that and I want to set up a little scenario here so you  
20 understand what my concern is. In the poultry and meat industries,  
21 particularly in the poultry industry where we also control our animal  
22 production, we know that certain times of the year we will have  
23 spikes in our micro load. We just know that. We don't know why.  
24 Usually during the summer in the south if different conditions exist.  
25 If you have a hard set number and we have these spikes in these areas  
26 you will naturally fail this set tolerance that we come up with. On  
27 the other hand -- and we're not talking -- in a cooked process you can

1 achieve a 5-D, 7-D. Canners can achieve a 12-D reduction. And you  
2 can do that all the time. In the interventions that we discussed over  
3 the past two days we discussed them in terms of a 1 log reduction.  
4 Well, if that intervention is in control and it's achieving its 1 log  
5 reduction but because of conditions that we really have no answers to  
6 our micro load is higher than it is at other times of the year you can  
7 fail that set number tolerance. It's a trend that you need to look at  
8 rather than a hard number.

9 MR. FOREMAN: Is it okay then to say to the public when they buy  
10 turkey in the summertime that they should be aware of the fact that  
11 it's likely to have -- raw turkey -- that it's likely to have a higher  
12 level of salmonella contamination. You can get the same seal in the  
13 summer that you get in the winter. Perhaps your process controls  
14 should be altered so that you have more stringent line operation  
15 during the summer in order that you come out in the summer selling  
16 the public a product that is similar to that that they get the rest of  
17 the year cause the public doesn't know that.

18 MR. POCIUS: The seal indicates that the product got the same  
19 inspection at any time of the year.

20 MS. MACKLOW: The seal says it's wholesome.

21 MR. BILLY: Rosemary?

22 MR. POCIUS: And that indicates in the C.F.R.

23 MS. MACKLOW: Thank you, Mr. Billy. Those of us who spend more  
24 time walking around meat plants and meeting with everyday problems  
25 are not really as comfortable or atoned in this big public debate that  
26 we're having here but we do bring a sense of what really is happening  
27 out at the grass root level of this great industry that has been putting

1 meat and poultry on the table for consumers for a long time. I've  
2 listened carefully to the debate over the last two days and there are a  
3 lot of demands on this program -- a lot of demands that we still want  
4 people to look at the plants, we still want people to see how things  
5 happen out in the field. Suddenly today we've gotten that and there is  
6 a sense that we ought to focus everything on that microbiological  
7 view of a product and I appreciate enormously what Dane Bernard has  
8 said to put that into a general focus. We all know that a meat  
9 inspector who really only targets one critical limit or critical point  
10 in a HACCP plan in a program in a plant may indeed ignore a lot of  
11 other things that are happening. So this is one component. It's a very  
12 important component. And it's taking us all into new areas that have  
13 not really been tested. One of the great concerns that we have as an  
14 industry is that we will be establishing standards for people without  
15 good baseline data and that baseline data would -- I'm encouraged to  
16 hear the way in which you're bringing your program forward and your  
17 current thinking. I think it's extremely important that once we have  
18 that thinking described for us that we go out there and get some data  
19 to make sure that it's going to work before we start mandating an  
20 entire nation and maybe other nations are trading partners who we've  
21 heard from around this table during the last couple of days to do  
22 things that we haven't really tested yet. And, so, I think that's a very  
23 important component of how we move forward. It's all progress  
24 towards where we need to be. We can't make a sudden night and day  
25 change.

26 As I told you all on Wednesday, I just had some recent OE  
27 experience and one of the comments that was made in that may be

1 germane and then I'd like to cede the rest of my time to Dr. Acuff  
2 who's sitting here beside me and that is that it's better to go out and  
3 count the haystacks rather than to hunt for a needle in one haystack  
4 and we're dealing with such a huge industry. We would be better to be  
5 looking at the big picture than so focusing our effort. And as  
6 somebody described to me this morning, if we really start hunting for  
7 salmonella we'll come up with lots and lots of negatives. It doesn't  
8 tell us much. Now, the microbiologists are going to have to explain  
9 that. I can't explain that to you. This is a complex subject and -- you  
10 know -- we're here to talk about that very specific issue today and I'd  
11 like to turn, if I may, my time over now to Dr. Acuff.

12 DR. ACUFF: Gary Acuff, Texas A&M University. I think it's  
13 important in some of the comments that have been made that we not  
14 uncouple safety and process control because I think there's been a  
15 slight attempt to separate those. If our HACCP is designed for  
16 safety, process control is in control of safety and any amount of  
17 testing is not going to show that we have a safe product. Process  
18 control is. It's also been said that we don't have the technology to  
19 test and that we need to develop this technology. You know -- I think  
20 we can always improve technology but technology is not the limit.  
21 It's simply statistics. You can't test all the food or there won't be  
22 anything to eat. And the only way we're going to have reliable  
23 indicators of whether a pathogen is present or not is to test  
24 increasingly high levels of food, whether we use PCR or conventional  
25 tests. You have to test increasing high numbers to be able to detect  
26 the pathogens because they're in extremely low levels and they're not  
27 equally distributed.

1           Now, I don't envy FSIS's situation in trying to convince the  
2 consumer that they have improved the situation but if I had to go to  
3 the consumer I would rather look them in the eye and tell them that I  
4 have improved the situation with process control verification in my  
5 hand than a bunch of negative pathogen tests because I don't think you  
6 have anything there.

7           Now, I did have one question for Dr. Morris. One of the things  
8 that I picked up on when you were speaking was the mention of  
9 critical limits for E. Coli and I'm actually looking for a clarification,  
10 I guess. Critical limit, as far as I have always used it, refers to  
11 specific critical control points and which I agree is the way to verify  
12 this situation that we should have critical limits designed for  
13 individual processes at CCP's.

14           Are you discussing critical limits or end product standards?

15           MR. TAYLOR: Dr. Acuff, let me just -- before Dr. Morris responds  
16 on that point let me just in interest of clarifying what our strategy  
17 is just say how fully I agree with your observation that microbial  
18 testing or any kind of end product standards in the absence of process  
19 control is woefully inadequate to produce -- you know -- to achieve  
20 food safety goals and provide the assurance that the public expects.  
21 That's why we're proposing to mandate HACCP. Our conception is,  
22 however, that process control and some measure of performance --  
23 you can call it HACCP verification, you can call it measures of  
24 accountability for reducing pathogens, call it what you want, but  
25 HACCP without some way to verify that we're achieving the public  
26 health goal of reducing pathogens is also by itself inadequate.  
27 Process control for process control sake doesn't get us anywhere so I

1 agree with you completely and I think when we approach testing and  
2 standards -- performance standards -- targets -- I mean we have to  
3 go it in a way that takes account of our reliance on process control as  
4 the instrument for achieving a goal. But then the question is, how do  
5 you know if you're achieving your goal and critically -- I mean for our  
6 strategy -- how do you have some incentive for the plant to work to  
7 improve? And our theory is that unless there's some accountability  
8 for achieving some concrete food safety result and in our mind that  
9 means reducing pathogens there is absent from the system an  
10 incentive to improve and that's what we're struggling for is an  
11 effective incentive in the form of a measure of accountability for  
12 reducing.

13 DR. ACUFF: I don't disagree with that and, in fact, -- you know -  
14 - part pathogen testing on occasion is an important part of verifying  
15 the HACCP program. I mean if you designed it for safety situation  
16 then you have to come in and verify on occasion the hazards that  
17 you're trying to control. But when you have a situation where the  
18 pathogens are present at low levels and not with equal distribution  
19 like we have in many cases in the beef industry, for example, I think  
20 that your stronger and more reliable verification is verifying CCP's  
21 and looking at records and that sort of thing but it certainly can be  
22 supplemented with pathogen testing but I'd hate to rely on that  
23 exclusively.

24 MR. TAYLOR: Right. I'd agree.

25 DR. MORRIS: And, again, I think we're saying the same thing.  
26 We're saying it slightly differently but we're saying the same thing  
27 which is that we see the ultimate goal target as being pathogen

1 reduction and we have to measure that and that that's something that  
2 potentially the agency would do as a means of verifying HACCP plans  
3 but at the same time we recognize that there needs to be process  
4 control and so what we are saying is that we recognize that E. Coli is  
5 the superior process control indicator. And, again, this is conceptual  
6 and -- you know -- I'm not totally sure everybody sitting at this table  
7 would agree with all of the details at this point in time -- you know -  
8 - let me clarify that before I dig myself in too deep -- but at least at  
9 a conceptual level I would see the E. Coli testing as being part of the  
10 process control process and we would use the same terminology we  
11 have used with other process control indicators, i.e, the agency would  
12 establish, at least as a starting point, critical limits but they would  
13 handled as critical limits would be handled as you would do with any  
14 other process control indicator. So in other words, if the critical  
15 limit was exceeded then there should be something in the HACCP plan  
16 which indicates what the plant does. I mean, in other words, the E.  
17 Coli testing would be part of the on-going daily this is our process  
18 control plan and that we would standing back as an agency just as we  
19 would check on every aspect of the HACCP plan this would be  
20 something that we would monitor. But that the concept would be that  
21 the process control E. Coli testing would be in control of the plant.

22 DR. ACUFF: Okay. But the critical limit is for CCP.

23 DR. MORRIS: This would conceptually be as a CCP.

24 MR. BILLY: I need to say something here.

25 DR. MORRIS: Again, it's obvious what you're finding here is there  
26 is --

27 MR. BILLY: I'm not sure that it would be necessarily a critical



1 limit but it might well be a standard -- performance standard against  
2 which various critical control points and limits appropriate to those  
3 points would be measured by an E. Coli standard of performance and I  
4 think in the HACCP context I think that makes, at least for me, the  
5 most sense in terms of how we would apply this in the HACCP setting.

6 DR. MORRIS: But, again, I would ask -- you know -- this is why  
7 we're here. Obviously, you can see that there are differences of  
8 opinion even internally. What I would ask is how would you -- Gary,  
9 how would you handle it? What would you do?

10 DR. ACUFF: Well, that is a good question. I guess I would  
11 answer that with a question. I sit on the Secretary's Advisory  
12 Committee on Microbiological Criteria for Food and one of the things  
13 that we have been asked to do in this latest quarter is do an extensive  
14 literature review and collect data regarding the use of indicator  
15 organisms in meat and poultry and my assignment has been beef  
16 slaughter. So I've spent the last couple of months collecting data and  
17 looking at the literature that's out there and what I have found is a  
18 great disparity in what data actually says regarding the ability of E.  
19 Coli, coliforms, APC's to indicate really very much of anything. In  
20 addition to that, I have been able to anonymously solicit data from  
21 several beef processors and we've collected several years of data and  
22 we have taken this and taken the names off and made sure everything  
23 remains anonymous and have done some rather extensive analyses and  
24 we see also that there are no correlations with any of that data. So -  
25 -

26 MR. BILLY: Correlations with what?

27 DR. ACUFF: With E. Coli, fecal contamination, pathogens. The

1 indicator situation is not clear.

2 So I guess the way I would answer your question is I would not  
3 be excited about putting many of my eggs in the end product  
4 verification basket. I would be more confident in verifying certain  
5 critical control points that I feel are controlling the process and if I  
6 -- if I feel that the CCP's are under control and on occasional end  
7 product testing for pathogens if you want, and maybe even for E. Coli,  
8 then I feel confident that the process is in control. So I would like to  
9 see critical limits at CCP's, not simply end product standards.

10 MR. BILLY: Okay. Bob?

11 MR. HIBBERT: Thank you, Tom. I think we may be sewing some -  
12 - my name is Bob Hibbert for the record. I'm here on behalf of the  
13 Eastern Meat Packers Association. We may be creating some  
14 confusion by being a little inexact with the term standards. The --  
15 and I think that gets us -- it may be useful at some point for the  
16 Department to pause and do some sorting out and articulating of what  
17 it believes its authorities are in this area. That hasn't happened yet.  
18 What we have now, at least on the record buried in a rather lengthy  
19 proposal, is a couple of conclusory sentences that sort of say well,  
20 we have this Meat and Poultry Inspection Act and we have the  
21 authority for everything we're doing here and I think we need more,  
22 both because that's the Department's job, number one, and, number  
23 two, regardless of the outcome I think we're going to have better  
24 decisions in this area if the Department engages in the discipline of  
25 thinking through what its authorities are and aren't. The -- as Mike  
26 Taylor said, this is a bit of a new paradigm that you're thinking about  
27 adopting and I think that dictates that kind of analysis and I think

1 while it's quite true as was pointed out, there has been some recent  
2 precedent which clarifies the Department's authority to conclude that  
3 in some instances, at least, products containing pathogens may be  
4 adulterated we are talking today about a really separate question.  
5 We're talking about the question of not an adulteration question but of  
6 something else of mandating tests which are not determinative of  
7 adulteration but indicates something else. Now, if we -- and I think  
8 that raises the question. Once you de-link from your adulteration  
9 authority I think you have to stop and ask, what is our authority? And  
10 I think the difficulty for many people with the proposed sort of  
11 freestanding do a daily pathogen test was it wasn't clear to anyone I  
12 talked to just what that authority is. Now what we're hearing today  
13 is obviously something different which seems to create some linkage,  
14 perhaps not with the adulteration standard, but with process control.  
15 That is -- my reaction is that is the step in the right direction but  
16 regardless of the outcome I think the Department has to be more  
17 careful than it's been. Any comments from counsel today or any other  
18 time today would be helpful as to what it really think its authority is  
19 in this area because it's blazing some new trails and it hasn't  
20 bothered to tell us yet how it thinks it has the basis to get us there.

21 MR. TAYLOR: We, of course, have abundant legal authority to do  
22 whatever it is we're doing here.

23 MR. HIBBERT: Thank you.

24 MR. TAYLOR: Let me make just one very broad observation. I  
25 don't purport to be the legal expert here. But -- you know -- the  
26 adulteration authorities in the statute address not only whether  
27 specific contaminants render products unhealthful which was the

1 basis upon which we reached the conclusion we reached regarding  
2 0157 in raw ground beef but the adulteration provision of the statute  
3 also addressed, for example, whether the unsanitary conditions in a  
4 plant may render food injurious to health, and that's an adulteration  
5 provision that ties very closely to the concept of process control and  
6 I have one more conclusory sentence on this subject. We have broad  
7 rule making authority to define the conditions under which an  
8 adulteration provision such as that -- addressing unsanitary  
9 conditions -- would be deemed to be violated. And so we would need  
10 to go through rule making to define those conditions but that's what  
11 we're doing.

12 MR. HIBBERT: Just one comment on response. I think it would  
13 seem by process of elimination that -- that this would have to be  
14 based on some sort of sanitation theory. I guess I would just ask the  
15 Department to consider the hypothetical situation of let's say a plant  
16 which grinds chicken, which is immaculate, and which has perfect  
17 controls, and which is purchasing chicken which is above -- which  
18 has the USDA mark of inspection on it which is marked as inspected  
19 and passed and which has salmonella levels above the baseline. I  
20 don't know what the Department's theory would be for saying that  
21 there's a sanitation problem in that plant.

22 MR. TAYLOR: We will consider that hypothetical.

23 MR. HIBBERT: Thank you.

24 MR. BILLY: Nancy?

25 MS. DONLEY: Thank you. I'm Nancy Donley with STOPS -- Safe  
26 Tables Our Priority. We are a consumers group and I would like to  
27 specifically address a hypothetical from Mr. Acuff, is it, who said

1 that he would challenge FSIS to look the consumers in the eye and tell  
2 them that you have put in place process controls. My response as a  
3 consumer would be fine, but is it safe to eat? Process controls are  
4 specifically that. You are controlling a process. It doesn't say at all  
5 whether the process works, doesn't work. You have to have something  
6 that says the end result is indeed safe meat and poultry. I kind of  
7 equate it to if someone were to put a schematic in front of me of a  
8 computer -- high-tech computer operation and I would look at it and  
9 oh, yeah, yeah, you can see it right here. Yeah, but does the program  
10 work? Consumers -- that's all they're interested in is the safety  
11 period, not what process controls go into it.

12 I'd also just like to say that -- once again reiterate that I can't  
13 tell you enough how pleased I am to see the whole HACCP proposal and  
14 we're very, very firmly behind it. I would like to say, however, that it  
15 appears to me that the process controls has been looked at and has --  
16 a lot of thought has been put into it as far as the process control  
17 program goes but it appears to me that the pathogen reduction portion  
18 of this has really been -- is almost an afterthought. Looking into this  
19 and going into -- first of all, I'd just like to say that looking --  
20 sitting -- going now and reversing this using salmonella as the -- for  
21 the process control and changing it instead to E. Coli, I just want to  
22 caution again from a consumer's viewpoint that -- you know -- E. Coli  
23 right now is a hot button period. It's getting some recognition in the  
24 news. We just have to be -- I'm very concerned that if we're going to  
25 be letting it out that hey, we're putting in process controls for E. Coli  
26 it's going to send off a very, very, very misunderstood and dangerous  
27 message to consumers. I just want to put that right in because the

1 pathogen that consumers are aware of and don't know of it is  
2 specifically the O15787 strain. I just want to kind of get on record  
3 with that.

4 I have a couple of problems here that with process controls that  
5 it's testing for fine for E. Coli. We still have a detected and ship it  
6 anyway plan. There is nothing right now that is saying that once  
7 fecal contamination has been found to be present in food that  
8 anything's going to be done about it. We're going to so note it and ship  
9 it anyway. I'm very, very, very concerned about that. We are going to  
10 have food-borne illnesses as a result and it's -- to me it doesn't seem  
11 to be addressing the problem then of pathogen control. We are  
12 identifying -- acknowledging a problem and allowing it to continue.  
13 Once -- once product gets out and gets out into the consumer's hands  
14 it's too late. You can't -- it's too late, it's too difficult, and too  
15 expensive to mop it up.

16 I'm going to share a little bit too as far as -- let me digress. I  
17 also -- if I understand Dr. Morris correctly that when we get into the  
18 actual pathogen reduction that we will establish and maintain targets  
19 for that but that salmonella will be the -- the pathogen -- the -- the  
20 control?

21 DR. MORRIS: That's one possibility. Again, I think this is  
22 something that we would -- I think the major concept here is the idea  
23 of separating out pathogen reduction from process control. I think  
24 there's a lot of discussion that still needs to go on on both sides of  
25 this issue. Obviously, as Gary and I and Tom were talking about the  
26 specifics of process control and the indicators to be used there, I  
27 think similar discussions and there will be similar issues as to the

1 appropriate pathogens to be used as pathogen targets. I will say,  
2 again, sort of in my own note, one approach would be to use the  
3 targets that we have already put into the regulation as our pathogen  
4 control targets. Again, there was a lot of thought and a lot of logic or  
5 at least I'd give you some of the logic that went into that. I think  
6 that ultimately, as has been said before, this entire process needs to  
7 be recognized as a dynamic process that we may set specific targets  
8 -- pathogen targets at this point in time -- but this does not mean  
9 those will be our targets for fifty years. This is a dynamic process.  
10 As the industry technology changes, as our understanding of public  
11 health changes, as other things change -- you know -- potentially we  
12 will come back and look at different pathogens and different targets.  
13 Again, it would be through a process similar to this -- you know --  
14 but I think there needs to be recognized that we are not making the  
15 decision now and forever that it will only be salmonella but at least  
16 for right now that may be a reasonable starting point.

17 MS. DONLEY: If I can just make a comment to that also and this  
18 also is something that Carol Foreman has alluded to. Salmonella, to  
19 my understanding -- I'm not a microbiologists by any -- and not even  
20 a pseudo microbiologist as has been stated before -- but I -- I -- to  
21 my knowledge there has been no correlation made between the  
22 presence of O157 along with salmonella as a for instance and that  
23 concerns me. Particularly that it is something that -- that if indeed  
24 that is the only thing and so I would strongly suggest that in the case  
25 of ground beef products that O157 would very definitely be something  
26 that would have to be tested for.

27 The -- the -- I think also the fact that -- I know what -- I want

1 to digress -- you said we're going to be doing random samplings. If  
2 I'm understanding the program then correctly for pathogen reduction  
3 we're really not doing anything that is that much different than what  
4 we are currently doing right now is what it's sounding like to me.  
5 That you have been doing as far as we've got the five thousand random  
6 sampling tests that are going on right now but other than that you  
7 haven't put a number on it. I know you're still working out these  
8 particular details but still what my concern is is that even if we have  
9 a random sampling type of program going forward that there are still  
10 those -- I don't know if there's any smokers in this room -- but  
11 everyone -- there are those of us -- it's a human nature -- to think  
12 that you're not going to get caught. I'm going to smoke cigarettes but  
13 I'm not going to be the one to get the cancer. I'm very concerned that  
14 once again is that there is going to be contaminated product getting  
15 out if there's not a program that is put in to test for these things  
16 specifically. Right now the program as it stands is that we are going  
17 to be shipping out contaminated product. It just won't get caught.

18 MR. TAYLOR: If I may just briefly clarify a point with regard to  
19 testing and the notion that it would be random testing. I think  
20 obviously this, again, our thinking -- we need to invest more thinking  
21 about this but our concept really isn't that it would be random  
22 testing. The concept is that the target would be, in effect, a  
23 regulatory standard against which we would hold companies  
24 accountable for process control adequate to achieve a target and in  
25 order for that to be effective in doing what regulatory standards are  
26 supposed to do; that is creating a concrete incentive for companies to  
27 improve their process, to meet a certain standard, we would have to



1 have a compliance presence adequate to insure that companies have  
2 that incentive and then adequate to insure that we have a high level  
3 of confidence about who's making it and who's not so that we can take  
4 corrective action with respect to those who are not making it. So we  
5 would need to devise testing regimes so that we could really target  
6 our efforts on plants that aren't making it. And if you just think, if  
7 you take first blush sort of -- again -- poultry industry, the  
8 salmonella target we proposed there -- you know -- the sense is that  
9 there's an opportunity for reducing incidents of salmonella in poultry,  
10 well we could take a very -- we could take a sweep through the finite  
11 number of broiler plants in this country with intensive sampling over  
12 a period of days at any particular time of year and get fairly quickly a  
13 picture of who at that particular time has process control that's  
14 meeting this standard and who doesn't and there will be some that  
15 will be more than meeting it, there will be others who would not be  
16 meeting it and then we would, in a compliance monitoring mode, be  
17 able to do further testing and oversight and whatever compliance and  
18 inspectional activities are necessary to bring plants into compliance  
19 with this standard or plants that are unwilling or unable in due  
20 course would -- we have all the remedies available that we have now  
21 for plants who don't meet regulatory standards. So we don't -- we  
22 have not developed the details by any stretch of compliance -- you  
23 know -- monitoring plans for this. We need to give intensive thought  
24 to it cause we need to be able to talk about this with people and it  
25 will vary from species to species but it's not -- it's not like -- it's  
26 not random sampling like the -- you know -- the random sampling  
27 half of our O157 sampling program. That's not really what we have in

1 mind. I think what we could envision as a compliment to compliance  
2 monitoring is some -- continuing our survey work to measure national  
3 trends so that in addition to holding individual plants accountable for  
4 reduction we could also have some parallel surveys that would  
5 measure national trends but it would be anything but random.

6 #2 MS. DONLEY: Could I make just one final comment and then I've  
7 taken more than my fair share of time here.

8 I'd just like to say that particularly in the case of O157 that is  
9 something that is -- I don't think that there's anyone here in this  
10 room that will argue with the fact that it is such an extremely  
11 virulent bacteria. In the Journal of the American Medical Association  
12 has identified E. Coli O157 as among the most biological substances  
13 known to man. And speaking from watching what it did to my own son  
14 and literally shredded my son from the inside and liquified portions  
15 of his brain, this pathogen cannot be allowed to go out in any way,  
16 shape, or form. It has to be zero. There can only be acceptable  
17 standards for this particular pathogen has to be zero. It takes a  
18 couple of microbes to kill a child so I would really like to see that  
19 that becomes something within this program that in these cases it's  
20 something that has to be tested for. This is not a pathogen that can  
21 just be sloughed off and you're ill for a day or so. So I really, really,  
22 really would like to see something in this pathogen control portion  
23 that address O157 specifically for where it is in the meat product  
24 that it is the highest risk and be addressed very, very, very strongly.

25 MR. BILLY: Gary has something he wanted to say right on this  
26 point.

27 DR. ACUFF: Gary Acuff, Texas A&M University. I think it's

1 important that we do not confuse micro testing as a method of  
2 control. Micro testing -- FSIS should use micro testing as a tool for  
3 verification -- one of the many tools that they have. And as  
4 interesting and as, I guess, attractive as micro testing sounds it may  
5 or may not be the best tool for every purpose in every instance and  
6 FSIS needs to address their verification to fit the particular instance  
7 of trying to verify. You know -- I don't want anyone to misconstrue  
8 that I am against micro testing. I have no problem with micro testing  
9 when it's used effectively and when it's used to reach an end product  
10 -- I shouldn't use end product -- when it's -- when we are trying to  
11 find a conclusion. My problem with end product testing or micro  
12 testing is that for control let me just say with all of my being it  
13 doesn't work for control. You cannot control. I want to see something  
14 better than that.

15 MS. FOREMAN: Could I -- my point is to that as well because I  
16 obviously I don't want to be in the situation of seeming to endorse  
17 testing without process control. On the other hand, I'd like to make  
18 two points. One, it has been the long history in which we chose -- in  
19 which the Department chose to view pathogens on raw product as not  
20 adulterants that has left us with the little teeny bit of information  
21 that we have. We don't have good information on infectious ghosts or  
22 ghost response for various groups of people. We don't have rapid  
23 tests. The 1995 Meat and Poultry Report urged -- said USDA really  
24 should already have had the rapid tests by then -- urged the  
25 development of the data that would make it possible to do this. As  
26 recently as 1993 there were people getting ready to bring suit  
27 against FSIS because the agency had been so recalcitrant in letting

1 companies come in and show the virtue of the rapid test that they  
2 were developing. There has been a paradigm change here that says we  
3 need to do this. We all recognize that the existing data are not very  
4 good and the tests aren't very good. There needs to be an incentive in  
5 this program if this is to be a public health program to encourage the  
6 development of data on -- in those response mechanisms for a wide  
7 variety of pathogens and for rapid tests to detect those pathogens not  
8 in place of process control but in addition to process control because  
9 the end result here is to find ways to reduce pathogen contamination  
10 in order to improve public health, in order to keep people from getting  
11 sick. One of the things that concerns me about just USDA doing the  
12 testing for salmonella is that if everybody out there has to do  
13 salmonella tests there becomes a huge market for people to serve and  
14 you aren't a huge market even though you're big. So I would like to see  
15 the marketplace encouraged to get into the pathogen reduction  
16 business. I have this endless faith in the genius of American  
17 capitalism. If there is a market they will come both with data about  
18 those response and about tests and the absence of that incentive has  
19 held us back. I would like to see that remedied so I do have some  
20 concerns in addition to the others about USDA being the only one doing  
21 the testing.

22 MR. BILLY: Steve?

23 MR. KRUT: Steve Krut, American Association of Meat  
24 Processors. I would encourage FSIS here to be very honest with itself  
25 and with those folks in this room regarding the establishment of  
26 goals for what it hopes to achieve with this overall proposal. In the  
27 early language of the February 3rd announcement it talked about a

1 ninety percent reduction in food-born illness in meat and poultry. I  
2 would hope that the Department would either fully substantiate that  
3 line of thinking or be very realistic with what it hopes to achieve. As  
4 Carol Foreman said earlier -- you know -- we know that if we go  
5 forward with the program we now have an average of fifty percent of  
6 the few plants that are going to be above and fifty percent below that  
7 level. With some improvement we're still going to have some that  
8 over average and some that are under average so I think it is  
9 incumbent upon the Department as it sets forth in this direction to  
10 establish what is a realistic goal, what it hopes to achieve. We  
11 acknowledge, and I think everyone in the room concurs, that we will  
12 not eliminate all food-born pathogens. That's just -- the World  
13 Health Organization and every other group that has any scientific  
14 basis looks at that and says we will make an effort to reduce and I  
15 think if we are asking plants to come forward and put forth new  
16 processes, controls, and new systems we need to know what's  
17 expected of them; that they're not being asked to hit a target that's  
18 not identified; that if we have established a one year or three year or  
19 a five year goal that we're looking at a fifty percent reduction in the  
20 specific pathogen or overall on a grid that's fine but I think at this  
21 stage we don't have those expectations detailed and we need those  
22 very seriously and I'm saying, not tongue in cheek, but if we have an  
23 effort to reduce ninety percent of food-born illness in meat and  
24 poultry we certainly have to look at those areas beyond the  
25 jurisdiction -- the present jurisdiction of FSIS. There are probably  
26 as much -- I would suggest as much product produced outside the  
27 realm of inspection as there is under inspection and we need -- or it

1 is further processed, handled, transported, what have you, we need to  
2 deal with that question if we're serious about looking at a figure  
3 anywhere near ninety percent. And I'm going to suggest one last  
4 concern we have is that when you get a plant that has reduced --  
5 reduced -- reduced a specific pathogen with all the areas within its  
6 control you're going to find, as Joe Pocius said, that sometimes  
7 seasonal factors, weather factors, lot control, and livestock  
8 situations that are beyond the control of that plant and so that when  
9 we look at what happens to the plant does that mean that their HACCP  
10 program, their inspection is pulled when things happen well beyond  
11 their control and I think we seriously need to look at that. Thank you.

12 MR. BILLY: Okay. I think that just before we break Secretary  
13 Glickman would like to say a few words.

14 SECRETARY GLICKMAN: I just want to say that this is very  
15 interesting. I visited our meat research lab in Nebraska a few months  
16 ago and I asked the question, where are we on developing practical  
17 testing that we could make determinations on pathogens, whether  
18 salmonella or E. Coli or whatever, and why can't we move quickly.  
19 Industry and the government, much more aggressively -- it's apart  
20 from -- although it's related to the regulatory questions you're  
21 talking about today, I mean the fact of the matter is is that just  
22 listening to this indicates to me that -- you know -- obviously  
23 technology is part of the answer here but part of that technology is in  
24 scientific testing, particularly in an area where the bugs and the  
25 bacteria are becoming more virulent, both striking animals as well as  
26 people. So we ought to as part of this, the Department needs to take a  
27 much more active and aggressive role in this issue of developing

1 practical modern testing -- the kind of testing that would take place  
2 in a plant and I'm going to do my best to see that this moves forward  
3 more aggressively than it has before. And, again, this is not in any  
4 way on a separate track from the regulatory side of the picture but it  
5 does seem to me that -- you know -- people are looking for some  
6 degree of predictability here and it does not look like it should take  
7 with all the brainpower that we have in this country to take millions  
8 of rocket scientists to come with the kind of testing capability in  
9 order to provide some predictability for the industry and for the  
10 government and for the consumers who want to know what's in the  
11 food that's being marketed. So just saying that I just want to say  
12 that it's been very useful for me listening to this debate.

13 MR. BILLY: I think what we'll do is take about a twenty minute  
14 break and start again at fifteen after eleven.

15 (A brief recess was taken)

16 MR. BILLY: I would like everyone to be seated. Rosemary --

17 MS. MACKLOW: Me?

18 MR. BILLY: Rosemary asked a question about video tapes and, in  
19 fact, it is being video taped. A tape will be available for viewing  
20 here beginning on Monday and you can get in touch with either the  
21 desk or I'll give you the information now. Lester Sheppard and the  
22 phone number is 720-9113. Copies will be available in a week and for  
23 those you can get a hold of Jennifer Callahan and the phone number is  
24 501-7251. There's going to be a sign-up sheet so if you want a copy  
25 of the video tape you can just sign up and we'll follow up. In terms of  
26 the transcript for these meetings they will be available on October  
27 2nd. They'll be available on disk -- Word Perfect 5.1 -- and, again,

1 you can get a hold of Jennifer Callahan at the same phone number --  
2 501-7251. This same information will be out on the table so if you  
3 didn't get it or want clarification you can get it that way.

4 MS. MACKLOW: Thank you, Tom.

5 MR. BILLY: You're welcome.

6 MS. MACKLOW: -- -- the video?

7 MR. BILLY: I guess.

8 MS. MACKLOW: Should have worn better wear make-up.

9 MR. BILLY: I think, Mike, you wanted to say something? Maury?  
10 Maury?

11 DR. POTTER: Welcome back from break. I think just to sort of  
12 capsulize what we were discussing earlier, our primary goal is  
13 prevention of meat and poultry-born disease and I think that  
14 everything that we're trying to accomplish here is headed in that  
15 direction. The primary measure of that is the incidence of meat and  
16 poultry-born disease and we have in place now an inter-agency  
17 collaborative food-born disease surveillance program in five sites  
18 around the country that's been collaboratively funded and organized by  
19 FSIS, SIFSAN, and CDC, to measure the incidence of food-born disease  
20 to get a better handle on what the numbers are, what the proportions  
21 are, to look at what proportion of these illnesses are attributable to  
22 different food commodities so that we can get a fix on where we are  
23 and then measure our progress toward prevention of meat and  
24 poultry-born disease. This is a very, very big step. It's been long in  
25 coming. Epidemiologic data are either qualitative and not very  
26 expensive and that's what we've been dealing with or they are  
27 quantitative and require a lot of resources, a lot of time and money.



1 And that's what we're building toward now so that we'll have an  
2 accurate measure where we are, where we need to go, and we can  
3 measure the progress toward goals in actual reduction of disease  
4 associated with products under regulatory control.

5 The first approximation of that measure is the presence of  
6 pathogens on the meat. And we're proposing -- or one of the  
7 proposals on the table is to look at that as a measure or progress.  
8 That's something that's a little bit easier to measure than incidents  
9 of disease and attributable risk factors. And the second  
10 approximation is the level of process control of fecal contamination.  
11 Most food-borne pathogens, most meat and poultry-borne pathogens are  
12 coming to consumers from micro organisms that were present in  
13 feces, either in the GI tract or on the outsides of animals presented  
14 for slaughter. So we have -- we have three measures that we can  
15 look at to see what kind of position we're in now and how we're  
16 heading and I think that we need to keep in mind as we go through the  
17 discussions that we're really trying to prevent meat and poultry born  
18 disease. Everything points in that direction and we're using all of the  
19 measures we can to determine how well we're achieving that goal.

20 MS. MACKLOW: Mr. Taylor, could -- Mr. Potter, could I ask you a  
21 question?

22 If we wanted to try to assure that every piece of meat or every  
23 hot dog going out of a plant or every hamburger patty was safe how  
24 many of those would we have to test in order to assure that it's  
25 pathogen free, that each of those products were pathogen free?

26 You talk about maybe a box or a shipment of a hundred thousand  
27 pounds of patties which four to the one would be four hundred

1 thousand patties out of a company and that might not be a total day's  
2 production for some of the companies.

3 Are you a statistician?

4 DR. POTTER: Pseudo statistician. Pseudo microbiologist. I'm  
5 not really here. This is just a hologram.

6 MS. MACKLOW: You wish it were.

7 DR. POTTER: In order to be one hundred percent sure that no  
8 pathogens were present you would have to test one hundred percent of  
9 product and your test would have to be one hundred percent sensitive.  
10 So there are barriers to achieving one hundred percent. Around the  
11 table everyone has acknowledged that we don't live in a risk-free  
12 society and we're -- and while our goal may be the absolute  
13 elimination of food-borne disease the steps toward that goal are to  
14 constantly put downward pressure on the incidents of food-borne  
15 disease. So we can't through end product testing achieve the one  
16 hundred percent safety. We think that through process control we can  
17 -- we can get a better indication of the likely level of hazard but as  
18 we -- as Glenn said, when we set up a HACCP plan we're setting up a  
19 HACCP plan to control the hazard -- the hazard that makes people  
20 sick. We're measuring process control by looking at our CCP's and  
21 perhaps using some measure of process control like E. Coli testing but  
22 at some point someone has to see whether that whole process which  
23 is under control is actually controlling the hazard and that's why we  
24 want to do some testing for pathogens.

25 MR. BILLY: Okay. Jim Marsden.

26 DR. MARSDEN: First of all, this is Jim Marsden from Kansas  
27 State University. I'm a little concerned about some of the discussion

1 we've had this morning about separating the HACCP proposal from  
2 pathogen reduction and part of that perhaps is because they are sort  
3 of separated out in the proposed regulation. But, remember, the  
4 reason that we're all here is because HACCP has been identified as  
5 the best system we have to achieve pathogen reduction. That's what  
6 HACCP is all about. And the way that HACCP accomplishes that is  
7 through the process control that's achieved through the critical  
8 control points in the process. Now, microbiological testing clearly  
9 plays a role in that but most of what we've talked about relative to  
10 microbiological testing has been way downstream in the process --  
11 after the product's already been produced and so on. I think we need  
12 to consider the role that microbiological testing should play  
13 upstream in the process and that gets back to validating the HACCP  
14 plan to make sure that the HACCP plan and the process controls that  
15 are inherent in that HACCP plan address the problem of pathogens in  
16 the first place. And a good analogy is what we're doing in dried  
17 fermented sausage right now. We're working to develop a process  
18 that controls E. Coli O15787 in dry sausage and we're not using  
19 indicator organisms, we're using E. Coli O15787 to validate the  
20 process. It makes all the sense in the world to do that. And once  
21 that's done and once we know what the processing parameters are in  
22 order to control E. Coli O15787 in dry fermented sausage then all the  
23 manufacturers of those products -- large companies, small  
24 companies, everybody in the United States and around the world --  
25 know what they need to do in terms of designing their HACCP plan to  
26 control that hazard. The same model applies, whether it's fresh meat  
27 or processed meats or whatever. We have to develop HACCP plans

1 that either eliminate or minimize the risk associated with  
2 microbiological pathogens. Microbiological testing is a big part of  
3 that validation process and in my view should involve pathogen  
4 testing in setting up those HACCP plans. Now there's another role  
5 that microbiological testing plays and that's in verifying the HACCP  
6 plan once it's in operation in the plant. And here's where we're  
7 talking about using indicator organisms to verify that the HACCP plan  
8 is working the way it's supposed to. And that's appropriate. USDA  
9 has identified E. Coli. In some cases that may be the proper indicator  
10 organism, maybe totally aerobic plate count. In fact, it's probably the  
11 plant product and process specific and it may be better not to  
12 prescribe the indicator organism per se or perhaps the motive  
13 verification that microbiological testing certainly can play an  
14 important role there.

15 Now, a third place where microbiological testing has played a  
16 support role, anyway, is in the baseline tracking that USDA has been  
17 doing for the past few years and that provides us valuable  
18 information on trends, where the industry as a whole is moving trim-  
19 wise. Doesn't single out an individual establishment or put an  
20 establishment above or below a mean but rather provides just trim  
21 information -- is what we're doing generally working. If we mandate  
22 that every establishment in the United States operate under a HACCP  
23 plan and then do a proper job of validating those HACCP plans so that  
24 we're certain that they address the problem of pathogens then that  
25 baseline information should reflect an improvement and I think it has  
26 an important role to play and in the fourth thing that we're doing,  
27 which is what Dr. Potter referred to is the real measure is how we

1 influence food-borne disease associated with meat and poultry  
2 products and I think the agency is doing the right things in  
3 cooperation with CDC in setting up programs to monitor the  
4 effectiveness of these -- of this major food safety initiative on what  
5 really matters and that's controlling food-borne disease. So in my  
6 view, that's where -- that's where the HACCP system works, that's  
7 how it works, and those are the places where microbiological testing  
8 play a role.

9 End product testing, as has been said all morning, is very  
10 limited in what it can do. You've just heard Dr. Potter say in order to  
11 have a one hundred percent assurance that product is safe we have to  
12 virtually test one hundred percent of that product. That's how HACCP  
13 was invented in the first place is because that's not practical.

14 One of the things I think that was said earlier that is probably  
15 not right is that the limitation is in the microbiological test. That's  
16 really not the limitation. We've made, as a country, tremendous  
17 progress over the past years in rapid microbiological testing. USDA  
18 has been a leader in that field. Every year at Kansas State University  
19 we hold a workshop on rapid microbiological testing and it sells out  
20 every year. There's a tremendous amount of interest. There's high  
21 tech companies all over the United States and around the world that  
22 are developing better and better methods all the time. That's not the  
23 limitation. The limitation is in the sampling. You cannot sample  
24 everything and have everything left over to consumer's food. So end  
25 product testing has limitations that we'll never be able to address.  
26 We'll never be able to get a handle on food safety by relying on end  
27 product testing.

1           A good analogy that I've used many times is pasteurized milk.  
2           The effort was put up front in developing a system to control  
3           pathogens in raw milk. And it does that beautifully when it's done  
4           properly. And that's where pathogen testing and everything else plays  
5           an appropriate role in setting up that process in the first place and  
6           then it can be verified periodically either with microbiological  
7           testing or in some cases even enzyme testing or something just to  
8           demonstrate that the product, if it's a heat treatment, has been  
9           heated to the proper temperature.

10           So the vision that I see of HACCP and the role of microbiological  
11           testing is that we set up a process that can be demonstrated to  
12           control pathogens, verify that process, usually with microbiological  
13           testing, conduct microbiological trend analysis which would be, in my  
14           view, an agency responsibility, and then evaluate the overall impact  
15           through the on-going surveillance of food-borne disease associated  
16           with meat and poultry products. Thank you.

17           MR. BILLY: I want to -- thanks. If you look back at the agenda in  
18           terms of A and B and establishing performance standards we've had a  
19           good discussion on the first billet -- the scientific and policy basis  
20           for establishing targets. The next three billets really deal with  
21           which species, which organisms, whether salmonella is the  
22           appropriate organism for some or all species, whether other  
23           pathogens would be preferable for some or all species, and the utility  
24           of targets for E. Coli or other non-pathogenic indicator organisms.

25           What I'd like to do is encourage more discussion on those areas  
26           in terms of keeping -- make sure we cover the agenda we agreed to  
27           and open it up for comment in that regard.

1 Jim?

2 MR. LOCHNER: Jim Lochner at IBP. My comments do pertain to  
3 those and it really started back, I guess, initially when my comment  
4 was formulated when Gary Acuff was talking.

5 I think first any discussion we have on microbial monitoring  
6 everybody better clarify whether we're talking about fresh or cooked  
7 processed product. And I'd recommend to you, Tom Billy, that they  
8 don't ask. My comments are going to be directed at fresh because  
9 we're talking about two totally different concepts in the system.  
10 What I want to talk about a little bit is, I think to sincerely improve  
11 the process you have to have some blind faith. And that is, we have to  
12 have an indicator organism, be in either E. Coli species or fecal  
13 coliforms and it may not correlate numerically worth a darn to  
14 pathogens but we know that it correlates at least positively to the  
15 source of pathogens specifically enteric pathogens. Now I know it  
16 does not correlate at all to the non-enteric pathogens. So listeria and  
17 staph, I know it's not a very good relationship, but it is to salmonella,  
18 pathogenic E. Coli, and campilobacter.

19 I know a number of people play golf in here. I do play golf. Does  
20 anybody not keep score other than my wife? The reason people keep  
21 score is they want to monitor their progress. You cannot have an  
22 effective HACCP program and talk about bacterial monitoring and the  
23 finished product unless you are keeping score. Now, I think the real  
24 issue's going to be what level. That's going to be the dilemma  
25 indicator organisms. And I could force all this discussion on very  
26 quickly and say what level are you thinking about? I'm going to revert  
27 back to the fact that the roster isn't cleaned up yet so we could

1 divert our attention. I think what we have to do and the agency has to  
2 do is really determine how are we going to monitor progress using  
3 indicator organisms and pathogens and that's what you're wrestling  
4 with. But I don't think we should debate whether E. Coli or fecal  
5 coliforms is a matter of source of enteric pathogens because I think  
6 it's fairly well understood that it is and I think it's fairly well  
7 understood when you make progress you've got to monitor yourself  
8 and you've got to get over those two principles and assume to some  
9 degree, if possible, that we have to have those things to make  
10 progress cause if we're sincerely, and I believe everybody genuinely  
11 wants progress, let's get after eliminating the source and figure out  
12 where the process change, not accommodate process changes that  
13 exist today that encourage or allow for cross-contamination. We  
14 cannot have massive dichotomy between various products because the  
15 systems today allow for it. We have to go after changing them.

16       When zero tolerance is implemented in beef we should have had  
17 zero tolerance in fecal. There's a concept that's a little difficult.  
18 But we knew the source was there so we choose processes. We tried  
19 to eliminate to the extent technically possible and I'm going to tell  
20 you, E. Coli numbers dropped -- generic E. Coli or fecal coliforms --  
21 they improved. Logically they should have. To what degree in across  
22 the industry it's a bit difficult but I can tell you internal numbers  
23 improved. Now, theoretically, I can't tell you to what extent we  
24 reduced the probability of salmonella but I know it had to improve  
25 somewhat and I think that's where we got to quit getting hung up on  
26 debating how much. We can get trend lines. We all grew up pretty  
27 much in the system that operated on where you stood -- hundred



1 percentile -- at least I was graded many times on what percentile, be  
2 it either when you went through the fitness exams or you went  
3 through grades, so percentile grading is a concept that could be used.  
4 Data accumulation to know where an establishment stands on a  
5 percentile maybe for a year or two so that it will be self-motivated.  
6 Nobody's going to want to be in the twenty fifth or bottom twenty  
7 fifth percentile. You best be looking at your process or where are you  
8 failing if you're there. The problem is we have no -- and I don't  
9 believe we need a standard -- we need to know what is the norm,  
10 what is the median, and then we can judge our own processes from  
11 there. At some point, however, if you're not so inclined to do it basis  
12 or self-motivated change your process then there may be some  
13 regulatory necessity to come in for enforcement. Therein lies the  
14 issue. So those are some abstract thoughts to think about.

15 The fresh meat is difficult. It's going to take trend lines. The  
16 other thing is all the data generated thus far did not look at the  
17 calendar year and I appreciate that we should be able to do it  
18 consistently but you need to know that so that your reacting. And  
19 over a year or two's period if we got good information and  
20 comparative data we should be able to make progress but we, again,  
21 cannot accommodate processes that don't deliver and it's going to  
22 take some time to change the process. The goal's got to be to get rid  
23 of processes that don't deliver pathogen reduction in fresh meat.

24 MR. BILLY: Okay. Mike?

25 MR. ROBACH: Thank you, Tom. I'm Mike Robach with Continental  
26 Grain Company and I'm here today on behalf of the National Broiler  
27 Council as well. I think one of the basic premises of the meeting

1 today and the meetings that were held earlier in the year was the  
2 idea of what role microbiological testing and performance indicators  
3 have on this whole process. And very clearly micro testing gives us  
4 an objective measurement of performance. I think everybody agrees  
5 with that. And micro testing clearly has a role in the verification of  
6 a process, especially a process where we're looking at destroying  
7 micro organisms in a fully cooked operation and I think you can talk  
8 about standards when you talk about a fully cooked operation.  
9 However, when you're talking about a raw operation I think you have  
10 to look at the use of guidelines and these guidelines are going to vary  
11 by season, by flock, or herd and that needs to be understood.

12 One of the other points that was brought up this morning was of  
13 a whole aspect of testing but the testing technology was holding us  
14 back. I don't believe any amount of testing can make pathogens go  
15 away. Controlling one's process is the way to reduce pathogens. And  
16 I think most of us in the industry certainly understand that clearly  
17 day in and day out. So the proposal in the issue paper that the agency  
18 delivered today I think meets some of the requirements that we feel  
19 are necessary in the industry. We believe the use of E. Coli has an  
20 indicator organism to verify process control is an appropriate use of  
21 technology. I don't believe that looking at the incidence of salmonella  
22 is an appropriate use of technology to verify process control in a raw  
23 plant. It certainly isn't there. The process is not capable of  
24 producing a pathogen free product. Just can't do it. So quantitating E.  
25 Coli is much more effective than a qualitative analysis of salmonella  
26 when it comes to process control.

27 In terms of pathogen reduction, there are not perfect

1 correlations between E. Coli and salmonella. However, there are  
2 strong indications that if you reduce E. Coli you are reducing fecal  
3 oriented organisms. Therefore, you are doing what needs to be done  
4 to improve the microbiological quality of raw product. E. Coli fits  
5 very well into the verification process. You get a fairly rapid  
6 turnaround. When you see numbers you know something's happening in  
7 the process. You have the ability to go back and take appropriate  
8 corrective action. You will, through the course of applying this type  
9 of analysis, be able to reduce your enteric pathogens.

10 The critical limits that we've talked about or have been  
11 mentioned regarding E. Coli levels or other indicator organisms --  
12 what levels -- have to be looked at very carefully because they are  
13 going to vary between species and they are certainly going to vary  
14 based on process capability. We have to make sure that we  
15 understand what the capability of the technology is in our operations  
16 today before we get too far down the road to setting guidelines.

17 Again, I believe that the use of microbiological testing as laid  
18 out in the issue paper today as an appropriate use in that technology.  
19 And it is the way for us to move forward. We have debated this time  
20 and time again and I think we've come to a general consensus that  
21 using an indicator organism for process control verification is  
22 appropriate. The agency has indicated that they're interested in going  
23 and looking at salmonella on a compliance level to make sure that  
24 they're meeting targets that they've established. I think that's fine. I  
25 think the FSIS wants to do that. That's something that they should  
26 move forward with. The industry will go ahead and use E. Coli as a  
27 verification tool for our process. And I think if we can come to an

1 agreement on that then we can take the next step and start discussing  
2 the details of the implementation and final drafting of such an  
3 agreement.

4 MS. DONLEY: I just have a general question just for clarification  
5 that might help a little bit with my mind in this discussion.

6 As far as for the process control or Dr. Morris -- where would -  
7 - where would -- is there any one point -- is at the end of the  
8 process that the samples would be done or do you have any views on  
9 that or is it going to be done several times within the process at  
10 different critical control points or is it the one time?

11 DR. MORRIS: That's the sixty four thousand dollar question. I  
12 think --

13 MS. DONLEY: I thought it was simple.

14 DR. MORRIS: I think the thing to say is, again, conceptually that  
15 the E. Coli testing would be an element of process control and, again,  
16 now let me emphasize that personally I would see that -- you know --  
17 in the future that this -- that the plants as part of their HACCP plan  
18 would incorporate E. Coli testing in potentially different spots,  
19 potentially multiple spots along the line but whatever their HACCP  
20 plan indicated that it was appropriate or necessary. What we are  
21 talking about though is more immediate, short-term interim  
22 measures to begin to really open up the HACCP era and I think the  
23 specifics there are still not that well laid out.

24 MR. BILLY: Pat Stolfa has the --

25 MS. STOLFA: At the risk of further demonstrating that the  
26 specifics are not that well laid out particularly among us and to let  
27 you know that indeed we are coming to you with our most current and

1 our best thinking to this time. It's a way to think about using generic  
2 E. Coli is to think about it as a performance standard and it seems to  
3 me that as a performance standard there's some utility in trying to  
4 pick a point at which we already have some data and so we might look  
5 to our baseline surveys and the points at which we took samples in  
6 our baseline surveys to see if those are appropriate points to impose  
7 a performance standard, particularly since we took in the raw product  
8 areas we took those samples relatively close to the end of the  
9 process and so close to the time when the product was either moving  
10 into further processing or leaving the control of the slaughtering  
11 establishment, certainly in the case of the steer, heifer, and cow bull  
12 line and broiler so the kind of thought that occurs to us if we consider  
13 this as a performance standard is that we might have sufficient  
14 baseline data from those surveys which were large sample long-term  
15 surveys that we could arrive at a reasonable approximation of a  
16 performance standard and have some basis for doing that that relied  
17 on data that we had developed and had developed in recent past.

18 MS. MACKLOW: Could I just ask Pat -- do you have that data  
19 handy here today for us all to look at once again?

20 MS. STOLFA: We might speak informally about some of it but I  
21 think maybe Ann Marie and Rich would be -- would you like to speak a  
22 little bit about what we've got on the E. Coli?

23 MS. MACKLOW: This was published as part of the baseline data  
24 wasn't it?

25 MS. STOLFA: Yeah.

26 MR. TAYLOR: Let me make just an overview observation of what  
27 one of our objectives is for today's discussion and -- I mean you're

1 getting to one of our objectives here which is we got a lot of  
2 comments, we had scientific conferences that said generic E. Coli is  
3 the more effective indicator organism for fecal contamination and  
4 one of our objectives in light of the enteric pathogens commonly  
5 present in fecal matter is to have an indicator organism for fecal  
6 contamination. We got around this table and in this room some of the  
7 world's leading experts on process control, microbiology. We would  
8 like your thoughts on very specific issues like if it's going to be, and  
9 obviously we're not at the end of the decision making, but if it's going  
10 to be a generic E. Coli process control indicator for fecal  
11 contamination we'd like input about what this quantitative standard  
12 should be, where the samples should be taken, and if there are folks -  
13 - experts -- sitting around this table who have ideas about that we  
14 would ask that you provide them. I mean I -- it's very important, at  
15 least, to get the maximum value out of this from our standpoint, that  
16 people not hold back their specific thoughts. We are going to keep the  
17 comment period open for some brief period following this meeting so  
18 those specific thoughts -- you know -- can be developed and  
19 submitted later, that's fine, but I'd like us to focus increasingly as  
20 we go along on some of the specifics as opposed to some of the  
21 conceptual issues on which I think, if there's not unanimity in the  
22 room, there's reasonable wide agreement and we've had a good  
23 discussion about it anyway -- like the fact that you can't achieve  
24 food safety goals just with testing or just with process control. Our  
25 thinking is you have to do both. I mean I think we've had that  
26 discussion. I just want to encourage as much specificity as possible  
27 on these key issues. We don't pretend to have those answers but there

1 are issues we know we need to address.

2 MR. CARNEVAK: Let me comment on Pat's remark about the data  
3 from the surveys. As you know we've been in the process of  
4 conducting a number of raw product surveys over the last several  
5 years. The only one that is available now as a final report and many  
6 have you have seen that is the steer/heifer survey. We have recently  
7 completed a cow/bull survey. We're close to completing, I believe, a  
8 broiler chicken survey and we've got some other surveys under  
9 consideration.

10 If you look at the steer/heifer data, we did look at six  
11 pathogens as well as three indicator organisms and we looked at a  
12 range of E. Coli, biotype 1 concentrations in the samples that were  
13 positive for E. Coli. So if one would scan that range they would see  
14 the averages that we arrived at for different levels of E. Coli biotype  
15 1 in the samples that were positive. From that data we would look at  
16 that data and try to determine what a reasonable level might be for  
17 establishing a -- I wouldn't want to use the word critical limit -- but  
18 for establishing a performance standard for a reasonable E. Coli  
19 concentration that would be indicative of good process control or  
20 good fecal control contamination. So that would be the kind of data  
21 we would look at. If you look at the steer/heifer data and the  
22 specific enumeration information on E. Coli biotype 1 you would see  
23 the kind of information we would be considering in establishing  
24 performance standards.

25 The other raw product species survey should be available  
26 hopefully -- I'll let Ann Marie comment on maybe the availability -- I  
27 know we're very close with cow/bull. I'm not sure of the exact

1 timing on the others.

2 MS. MCNAMARA: Like everything, with so many initiatives, some  
3 things get pushed back and the statistical division and the micro  
4 division are working very diligently to try to get these reports out to  
5 everyone. We would make them available as soon as they become --  
6 we'll make them available to you as soon as possible and that's one of  
7 our major goals. So in the next few months you should be seeing  
8 these coming out.

9 Let me also say that these nationwide studies also address  
10 some of the concerns some of you around the table have had about  
11 having year long studies that take into account the seasonality that  
12 occurs in data gathering and in levels of micro organisms that may be  
13 seen. So this was also one of the reasons why we chose the baseline  
14 studies as maybe being the most appropriate data that we have at  
15 this time to set some performance criteria.

16 MR. BILLY: Barry?

17 MR. MARSHALL: Thank you, Mr. Chairman. First of all, there's a  
18 lot of good discussion here today and I just really want to put New  
19 Zealand's position on the table and also for a few things into head  
20 that may be helpful. I'd like to say that right at this point of time  
21 that New Zealand certainly endorses the overall strategy of FSIS to  
22 improve their safety of meat products and to limit the levels of fecal  
23 contamination entering the food chain. I mean this was the thing that  
24 we all wanted to do even in New Zealand.

25 New Zealand is a country that is totally committed to this  
26 philosophy of HACCP and we'll continue to develop scientific basis for  
27 and the implementation of validated process control.



1           Now, there's a lot of discussion here earlier on about what  
2 organisms should be looked at, what shouldn't, etc., but I think what  
3 we need to take into account is it has been mentioned by one or two  
4 individuals that the whole aim is to reduce the risk. There's no such  
5 thing as zero risk. Unless we accept that then we're not going to get  
6 too far. And it was clearly pointed out by a previous speaker that  
7 unless you do a hundred percent testing of a hundred percent of  
8 product you're never going to be sure that the product you eat is going  
9 to be totally free of whatever you're looking for. So the whole thing  
10 is geared up to what sort of issues or organisms should we be looking  
11 at and what shouldn't we be. Before I sort of give you the New  
12 Zealand perspective I think we've got to put into perspective the fact  
13 that microbiological testing is only part and parcel of the whole  
14 spectrum of what we're trying to achieve. It's not an end result in  
15 itself and microbiological testing by itself won't give you the  
16 certainty effect that you want. There's a whole range of things and  
17 we've put a pretty substantial submission into FSIS but it really  
18 starts on the farm. You need clean -- unless you have clean stock  
19 coming in for slaughter you got a problem immediately. The moment  
20 you cut your -- take the hide off you're going to -- while the skin --  
21 while the flesh under the hide is sterile, the moment the hide comes  
22 off you get aerosol then you're going to get a bacterial floor on the --  
23 immediately. And what happens as a result of the handling of that  
24 product is going to dictate what the outcome is at the end. So the  
25 whole trick is to make sure you have hygienic dressing practices,  
26 standard operating procedures, all the good things that FSIS is  
27 proposing. We totally support it.

1           One of the key issues in the thing is the cold chain. The moment  
2 you know that a carcass has been eviscerated and inspected that they  
3 should go into an environment where the temperature of the product  
4 is decreasing and then it should be maintained at that temperature  
5 right throughout the processing, whether it be cutting and packaging,  
6 transport, delivery to the consumer at the other end. We've been  
7 doing this for the last twenty odd -- twenty five years since the  
8 early 70's. We had a cold chain in place with standards with having to  
9 meet a whole range of other things and perhaps that's one of the  
10 reasons we have been exposed to some of the unfortunate things that  
11 have happened in this country. But as a major exporting country,  
12 we've just got to get it right. We don't want our consumers, whether  
13 they be yourselves or our local domestic population, being put at risk  
14 so we've taken the initiative here.

15           I'd like to get on to a few of the things about what we should be  
16 looking for and what we shouldn't. In New Zealand the aerobic plate  
17 count, which is just a measure of the -- I'm sorry -- the aerobic  
18 bacteria that's on the carcass that results once the hide comes off --  
19 that's been the centerpiece of the New Zealand program and the New  
20 Zealand meat industry's thrust and microbiological testing and  
21 monitoring and control procedures for the last twenty five or twenty  
22 to twenty five years. We've used amine aerobic plate counts or APC's  
23 incubated at the usual temperature, etc. as the indicator of choice for  
24 general cleanliness and hygiene of the carcass. And we've found that  
25 these APC's provide a continuous measure of microbiological  
26 contamination from which the industry can take action and identify  
27 whether we actually have the system under control or not. Now, the

1 value of the APC's as a general indicator of contamination really can't  
2 be underestimated. From the general feeling here, it's not going to  
3 get -- -- but I'm making the point here just to say you know where we  
4 feel. Several of such projects have been carried out in New Zealand  
5 and we have done this on sheep dressing, for example, and we used --  
6 well, as a classic correlation between aerobic plate counts and E. Coli  
7 -- that's generic E. Coli levels. And we've used that to identify  
8 critical control points in addressing system of a protective species  
9 of animal and this was, in our case, was sheep. And we looked at the  
10 whole process from the moment the animals came on to the slaughter  
11 board, got slaughtered, right through to when they left the slaughter  
12 board and really at the end of the day there was only one critical  
13 control point. It really didn't matter whether people were -- you  
14 know -- well, while one expects people to have good manufacturing  
15 practice or procedures -- wash your hands and keep clean and  
16 sterilize their knives when they became contaminated -- at the end  
17 of the day those had very little effect at all on the end product. What  
18 really -- in the case of New Zealand and sheep was that it hinged on  
19 the length of the wool. If the sheep came into the yards -- and of  
20 course we wash everything before we go -- but if they were -- had  
21 long wool or dirty and are wet then we -- that's when we get the very  
22 high contamination levels on the carcass once the fleece came off. If  
23 the short wool dried and cleaned we had a very low baseline. So in  
24 this respect APC's have been quite effective for New Zealand in  
25 actually determining the whole range of things there.

26 In terms of enteric bacteria, they can often be limited as  
27 indicated as a process -- hygiene -- due to the wide diversity of

1 where it may be located or the -- -- of the distribution on the  
2 carcass. They're not all evenly spread out all over the carcass and,  
3 therefore, the counts in these bacteria and what have you frequently  
4 drop below the limits of quantitative assessment so it's -- you know  
5 -- a problem occurs there.

6 Now, we all know there's a lot of people here that are not  
7 scientists and so I'm just going to make this so that they understand  
8 because there's a lot of expectations from the private sector which  
9 really, I think, are worthwhile but I think they are a little bit  
10 unrealistic in what they're expecting.

11 A good bacterial indicator of enteric pathogens -- in other  
12 words, pathogens that come from the gut are going to meet a number  
13 of important criteria. They got to be specific to the intestine so  
14 obviously if they're -- you know -- enteric pathogens really to be  
15 detected they've got to be present in high numbers in the feces.  
16 They've got to be easily reliably detected when present in low  
17 numbers. And they should have a die off rate parallels the pathogen  
18 of concern. Now, the role of thrust here is for FSIS is towards  
19 salmonella, the hope is that by targeting salmonella and by marking  
20 out all the other pathogens that we haven't even considered here  
21 today, so what we'd like -- well, our evidence from New Zealand --  
22 certainly all the data in the literature states that salmonella doesn't  
23 actually satisfy all these criteria. And usually what happens -- it  
24 can actually be carried -- well, generally, it's recovered in meat in  
25 low numbers but you've got to use specific isolation procedures which  
26 are complex and therefore more expensive for testing purposes than  
27 perhaps some of the other indicators that can be used probably more

1 effectively. Furthermore, many of the tests that have been put  
2 forward or suggested for salmonella really just doesn't enumerate  
3 the number of organisms whether they're present or absent. Now,  
4 that's a problem in its own right because not only -- well, you might  
5 have E. Coli O15787 which might have an infective dose of -- --  
6 organisms per gram of product, with salmonella, depending on the  
7 species, it may be a million organisms per gram so this is the  
8 problem. If just the presence or not of salmonella is not an  
9 indication of just what is the level of contamination. All you know is  
10 it's there but you don't know to what degree it's there and this sort of  
11 thing.

12 We found that in New Zealand, anyhow, and I'm pretty sure it's in  
13 this country, that salmonella species in the live animal -- the  
14 presence of it is sporadic. It's not there all the time. As I mentioned  
15 before, it's only apparently one percent in this country, significantly  
16 less than New Zealand, less than .1 of a percent. And so for us to test  
17 the salmonella at that level as one of the previous speakers said -- I  
18 jotted it down here somewhere -- that -- I can't even find it now --  
19 anyhow -- to test for -- you know -- to test for negatives is a  
20 nonsense situation. And certainly as far as New Zealand, we would  
21 actually be going down that trail.

22 So the problem is because of this small amounts or sporadic  
23 incidence or prevalence of salmonella in live animals and  
24 consequently the fecal contamination on the carcass not being  
25 homogenous, not being covered over the whole carcass but being  
26 sporadic here and there, and the distribution of salmonella between  
27 carcasses differing, we feel that perhaps we're sure that salmonella,

1 as far as New Zealand is concerned, is certainly not the organism of  
2 choice.

3 One of the things we actually did a bit of steps on the  
4 prevalence that FSIS has said was salmonella prevalence -- the one  
5 percent and I'm not quite sure why -- whether that was the actual  
6 prevalence or that was close to it or what -- but there's a one  
7 percent, using the one percent that's being suggested in all these  
8 other systems that are put in place that are being considered such as  
9 the moving some sampling plan and this sort of thing we see it as  
10 actually not being appropriate for the New Zealand condition simply  
11 because it's very slow to -- slow response time to determine what  
12 acceptable one -- what acceptable -- what -- and you actually get  
13 unacceptable failure rates for acceptable production. So we noted  
14 that there was actually a twenty percent chance of failing acceptable  
15 product over an eighty three day period based on what's been proposed  
16 and a sixty one percent chance of failing acceptable product over a  
17 two hundred sixty day killing season. This is almost nine times as  
18 many -- so really to get the confidence you want because of this --  
19 between salmonella and something like E. Coli -- you actually will  
20 need nine times many more samples needed to actually detect a  
21 doubling of rate of contamination of salmonella with a prevalence of  
22 one percent if it's used as an indicator. Then with E. Coli -- with an  
23 incidence of eight percent. So what I'm saying here is that it's --  
24 well, much better to look at something as been suggested from a  
25 number of the speakers that perhaps E. Coli's the way to go. And  
26 what's so -- New Zealand would like to propose -- in fact we have in  
27 our submission -- that E. Coli is actually the more suitable indicator

1 of contamination by fecal bacteria and that it actually better  
2 satisfies the criteria for an indicator organism and salmonellas --  
3 you know -- just doesn't do that. There's quite extensive data  
4 relating to growth rates, death rates, to temperature for both E. Coli  
5 and salmonella and there's reliable predictions that can actually be  
6 made for potential growth rates, etc.

7 But to wrap it up I'd just like to say that New Zealand's totally  
8 behind what FSIS is doing. We want to get to the same end point.  
9 Perhaps we'll disagree pretty vigorously on perhaps how we get there  
10 but we want to insure that the public and New Zealand as well as  
11 other countries we supply are certainly not at risk from any  
12 pathogens. Thank you.

13 MR. BILLY: Gary?

14 MR. WEBER: Gary Weber with the National Cattlemen's  
15 Association. And, Tom, it's been a while since I asked you if I could  
16 talk. There's so much that's been covered and that's not -- I think  
17 that's great. A lot of people are getting their thoughts in but since I  
18 asked to speak, a number of points have been raised which were  
19 similar to the points I was going to make so I'll be very, very brief.

20 But, first and foremost, I want to make a statement that as the  
21 producers of the products we're very concerned about this entire  
22 issue, not only what we're doing on our farms and ranches, but what  
23 occurs in the plants and what happens in transportation and what  
24 happens in the retail stores and what happens in the household  
25 because all those must be thought of as part of the system and we  
26 will do our part to help insure the safety of the food supply.

27 As we look at the proposal that FSIS has put forward in your

1 issue statement that was released yesterday, I want to applaud your  
2 -- as Pat Stolfa said, it is indeed, perhaps from my point of view, the  
3 best thinking that I've seen coming out of FSIS here on this issue. It's  
4 excellent. It's on the right track. Certainly, the devil's in the details  
5 and you need to work through that with the industry that has the data  
6 on generic E. Coli and how to be establishing the appropriate  
7 guidelines or targets, etc. With respect to salmonella and other  
8 pathogens, we would be very disturbed if as the process of putting  
9 HACCP in place we do not see, based on trend line analysis, very  
10 significant improvement over time in fresh product of the presence of  
11 those pathogens, and, consequently, are very supportive of the  
12 concept of using the baseline studies for salmonella or other  
13 pathogens to document this improvement over time. And we will  
14 certainly be immediately at the table of FSIS and at other locations if  
15 indeed it looks like we're not improving we're going to be asking why  
16 and want some action taken to see that we're making progress. So I  
17 want to reiterate support for the movement toward E. Coli. I think  
18 that's certainly going to be helpful and we'll work within the HACCP  
19 system as a result of our discussions with a number of scientists and  
20 industry. We're not adverse to looking at salmonella and other  
21 pathogens from the standpoint of baseline studies. Those are very  
22 effective. But we also want to ask the last point is, as has been  
23 stated before, that FSIS be very honest with everyone -- that this is  
24 a long process of improvement. We can't guarantee that the product  
25 leaving -- if handled improperly will not put someone at risk and so  
26 we need that strong communication from the Department that there is  
27 indeed responsibility to all steps and I promise everyone here we'll



1 take our -- our responsibility seriously and do what we can to do our  
2 part in this. Thank you.

3 MR. BILLY: Mike.

4 MR. TAYLOR: May I just be at a point and then make a request.  
5 First of all, I appreciate your comments, Gary. The issue of -- when  
6 you said we need to work with the industry which has the data on  
7 generic E. Coli -- in order to set this quantitative standard, I think I  
8 understood you correctly, we would agree with that and we have data  
9 from our baseline survey. Our administrative record will be open for  
10 thirty days following the close of these meetings and I mean I invite,  
11 urge, encourage, request those at industry who have data relating to  
12 the decisions that you know we're looking on to please submit it and  
13 this needn't be plant specific in terms of identifying the particular  
14 plant. It can be in the data that are aggregated by your organization  
15 or others but any data that the industry has that would enable us to  
16 make the best possible science-based decision we would request that  
17 you submit the rule making record and I guess I would say the same  
18 thing. We do intend to use the data that we have available to us,  
19 including the baselines, in the event -- I mean we reach a final  
20 decision to adopt targets for pathogen reduction but I would make the  
21 same request to industry with respect to data that it has. We made  
22 this request in the February proposal, frankly, to no avail. We'll make  
23 the request again if industry has a way to aggregate data that is in  
24 its possession that would permit us to have the best possible  
25 understanding of current incidents of contamination as well as  
26 quantitative levels -- whatever data you have -- of raw meat and  
27 poultry products with pathogens, we invite that. And, again, it

1 needn't be plant specific or company specific but aggregated data  
2 because if we're going to make good on achieving the objectives we're  
3 talking about and if we choose to use the kinds of performance  
4 standard tools that we've been discussing, we obviously want to base  
5 these on the best available data and we'll work with what we have  
6 but if you can prove the quality of the data, the quantity of the data  
7 that we have to rely on, we'd really appreciate it.

8         On your final remark about we need to be honest, that this is a  
9 long process of improvement, and that it is unlikely that we're ever  
10 going to be in a mode whereby product coming out of a slaughter plant  
11 can be subject to abuse and still not pose a public health or food  
12 safety concern, I agree with you completely. What we do believe --  
13 what's invited in the proposals is the notion that there is substantial  
14 room for progress in reducing pathogens and reducing incidents of  
15 contamination of raw meat and poultry products with pathogens and  
16 that in so doing, by reducing the incidence of contamination with  
17 pathogens we reduce the risk that consumers ultimately bear that  
18 through abuse at whatever point a food safety problem will result.  
19 But it's not a zero risk enterprise. Nothing we're doing is by itself a  
20 silver bullet but we do think that through a long process of  
21 improvement that we can make substantial progress in reducing risk.  
22 That's the objective. We'd like -- everybody would like a hundred  
23 percent elimination of food-borne illness and -- and that's an  
24 aspiration that probably all of us have at a personal level. We know  
25 we have to work hard to achieve reduction and we need to get a  
26 system on a path to do that. So I mean I agree with your observation  
27 there.

1 MR. BILLY: Carol?

2 MS. FOREMAN: A question please. For those plants that can  
3 indicate a level of quality in terms of E. Coli that is substantially  
4 above that the industry meeting it will they be able to make a -- I'm  
5 sorry -- Carol Tucker Foreman again. Just in case it wasn't done, for  
6 those plants that are able to regularly produce a product that is far  
7 above the industry median -- those who are in the top five percent --  
8 ten percent -- will they be able to make a label claim that they are in  
9 fact far above the USDA requirements so that there is an incentive?

10 MR. TAYLOR: That's an interesting important policy question. It  
11 would raise a lot of, obviously, specific issues about what would be -  
12 - you know -- how that would be done appropriately and I, either at  
13 today's meeting or in subsequent phase of a reopened comment period,  
14 welcome specific thoughts. I mean the idea that through market  
15 incentives, in effect, -- you know -- improvements can be brought  
16 about in the safety of food products -- I mean in reality that's going  
17 on right now, not through the use of label claims but because as one -  
18 - the president of one poultry operation told me, his customers tell  
19 him that they don't want bugs on the products so he's getting the bugs  
20 off the products and so there's a market -- demand -- you know -- to  
21 improve food safety. A lot of complex issues about how you use  
22 affirmative label claims without misleading and so forth.

23 MS. FOREMAN: I understand but as long as -- I continue to be  
24 troubled about the presence of the USDA inspection seal on all this  
25 fresh product that some of which falls below the industry median so  
26 that it turns out that the seal represents the minimum level of  
27 achievement with regard to food safety and if it remains as the

1 indication of a minimum level of achievement I do think there is a  
2 market incentive to having something that says we go above that. We  
3 are better. And I believe that kind of market incentive might very  
4 well pull other segments of the industry ahead faster than a  
5 government salmonella testing program and once again should enhance  
6 process control in a way that enhances food safety. So we probably  
7 will get you some comments on that.

8 MR. BILLY: Carol? Carol? On September 29th the item B,  
9 incentive based alternatives such as market claims and labels --

10 MS. FOREMAN: Oh, I'm sorry. Terrific.

11 MR. BILLY: There's a -- which I think you suggested at the  
12 grouping session. You set the stage for that discussion and we will  
13 address this area -- you know --

14 MS. FOREMAN: I should be here to hear the arguments against it.

15 MR. TAYLOR: Really, my desire for as much specificity on all  
16 sides of the discussion as possible. There's a concept there and then  
17 there are also a number of specific issues about how you would do  
18 something like that and the more we can -- everybody who wants to  
19 participate in that on that issue can get the specifics -- pros and  
20 cons and so forth. I mean that's what would help us -- you know --  
21 grapple with that issue.

22 MS. MACKLOW: Could I just speak to Carol's point for one  
23 moment please?

24 MR. BILLY: Sure.

25 MS. MACKLOW: Maybe when we can come to that September date  
26 Carol would be able to bring us back some information on the value  
27 that's putting the TQC symbol on processed product has had. That was

1 something that she initiated during her term as Assistant Secretary  
2 and we've now got nearly about fifteen years experience on some of  
3 that and that might be very useful.

4 MS. FOREMAN: Unfortunately it happened just as I left so we  
5 really weren't able to pursue it the way I wanted.

6 MR. BILLY: Joe?

7 MR. PEMBROKE: Joe Pembroke with Kraft Foods. We had a  
8 number of issues raised and I think at least we had them put on the  
9 table. Mr. Hibbert, on the authority, a cogent argument that has to be  
10 addressed, and I think we also had Mr. Lochner -- how much and what  
11 level. And a third issue that I would like to raise is if these levels --  
12 the baseline levels are exceeded what action does the agency plan  
13 taking?

14 DR. MORRIS: Again, I think this is one of these issues where we  
15 would welcome suggestions. I can tell you that one conceptual  
16 framework would be that if one regards the pathogen targets as an  
17 indicator of the effectiveness of the HACCP plan then a failure to  
18 meet a pathogen target would indicate that there were problems with  
19 the HACCP plan and so there would have to be a re-evaluation of the  
20 hazard analysis and of the HACCP plan. Again, it's not envisioned that  
21 this would result in -- you know -- a lot of product being locked up.  
22 But this is not what this is. This is a means of validating a HACCP  
23 plan. I think, again, as apparent from the discussion this morning, in  
24 terms of E. Coli testing, again, there, we would see that more as a  
25 process control indicator, and, again, the way in which that  
26 information would be used is something where we need suggestions  
27 or ideas. Again, one conceptual framework would be to see it as part

1 of the overall process control system within the plant and so -- and,  
2 again, keep in mind that you're hearing differences here and what we  
3 want is input as to ways to approach this. One conceptual framework  
4 would be to see it as a critical limit which would be handled in the  
5 way that critical limits are handled as part of the HACCP plan.  
6 Another approach would be what Mr. Billy was indicating earlier. I  
7 think what we need is input of the appropriate ways in which  
8 deviations from these targets, standards, guidelines, might be  
9 handled. But as I said, I think the overall concept is, again, we're not  
10 going to stop the product at the door. We're going to use it as a means  
11 of looking at the process control or looking at the HACCP plan.

12 MS. DONLEY: Thanks for asking that question. I think what I was  
13 trying to ask a long time ago in a very poor way. When will product  
14 get stopped at the door?

15 DR. MORRIS: I think when there is a breakdown of the process  
16 control system. And, again, I think these are -- these are the issues  
17 we've got to come to grips with.

18 MR. OLSSON: Phil Olsson here with International Meat  
19 Association. Let me follow up on Joe Pembroke's question because I  
20 think that earlier today we had something that from your side was  
21 described as a significant change from what is in the proposal as  
22 different conceptual framework. There's been a lot of thinking and a  
23 lot of new thought that's come forward and that's all very good. But I  
24 think that in connection with that where there are questions such as  
25 Joe has posed about what is the consequence of exceeding the levels,  
26 Dr. Morris, I don't think that we can both pose those questions and  
27 answer them. I mean if this is a new conceptual framework and we

1 are here in the spirit of give and take which is the -- I think the  
2 premise for these meetings and we are here with the understanding  
3 that we're here for dialogue, not delay, and that the agency has a  
4 December 31 deadline to get things done here and to get things  
5 activated, we really need to know when Mr. Pembroke asks -- you  
6 know -- what have got in mind in this conceptual framework. We  
7 need to really have this exchange and this detail and -- you know -- I  
8 should not take up the time while there are so many distinguished  
9 microbiologists around this table because I understand you want their  
10 input but I do think we need the exchange.

11 MR. TAYLOR: Let me just -- on that particular issue let me just  
12 repeat what I said this morning on that which is what our current  
13 thinking is with respect to the consequence of a finding that a plant's  
14 process control was not achieving the target for pathogen reduction.  
15 What we envision is the consequence of that is that we would through  
16 compliance, oversight, and further inspectual activity, hold the plant  
17 accountable for making the necessary corrections in the process  
18 control system to achieve the target. And a plant's inability to do  
19 that at some point would result in our not allowing the plant to  
20 continue to operate which is precisely the concept that was laid out  
21 in the proposal with respect to the consequence of not meeting the  
22 target under the proposal. The distinction is that rather than  
23 requiring, again, as we proposed the plant to do the testing that leads  
24 to the finding, the target is not met, really the difference here is  
25 simply that we would be taking responsibility for doing the testing to  
26 determine that the -- whether the target was met. And so -- and so  
27 let's -- and so there's no kind of lack of clarity in terms -- and,

1 indeed, really that issue of what's the consequences is not a  
2 departure from the proposal and let's talk about it. I mean it is a  
3 tool. As the paper says, what we're looking for is a measure of  
4 accountability for controlling and reducing harmful bacteria. It's a  
5 pathogen reduction performance standard that is meant to be a tool of  
6 accountability which means if you don't meet the standard it is our  
7 responsibility -- it would be our responsibility as the regulatory  
8 oversight agency to see that you do. And that's the idea. And so I  
9 mean I agree with you. We ought to before it goes over have a focus  
10 discussion -- is that the right consequences or not. I mean let's have  
11 that conversation.

12 MR. HIBBERT: Could I make a comment on the specific question?  
13 If I understand this discussion correctly the -- this is Bob Hibbert  
14 again for the record -- the failing to meet a target -- let's assume --  
15 let's assume we're in a system where the Department generated E.  
16 Coli testing and there is some above --

17 MR. TAYLOR: That's not what we're talking about.

18 MR. HIBBERT: And so I may have been missing the point. This is  
19 the pathogen reduction testing which is now going to be done --

20 DR. MORRIS: Salmonella pathogen.

21 MR. HIBBERT: Excuse me. Salmonella -- excuse me -- done by  
22 the Department is a process verification tool.

23 DR. MORRIS: Pathogen target.

24 MR. HIBBERT: Target. Right. Now it seems logical to assume  
25 that a high level might create some special scrutiny or some  
26 skepticism about the operations of the HACCP plan and that seems  
27 reasonable. We've got a high number here. What do we do about it?



1       However, going back to kind of the hypothetical I was offering  
2       earlier, it would seem that at least one possible conclusion you might  
3       draw after you look at the HACCP plan is that nothing is wrong with  
4       it. I think that might or might not happen in a given circumstance.  
5       All I'm suggesting is that the Department under the scheme of things  
6       would keep its mind open that while that test is a trigger, a yellow  
7       light goes off, we need to look at this more carefully, you might then  
8       look at the plant carefully and come to the conclusion that it was  
9       operating at perfectly acceptable HACCP plan.

10           MR. TAYLOR: What I hear you saying, Bob, and correct me, is that  
11       when we are actually in a compliance mode based upon the kind of  
12       analytical results that say the target is not being met, the  
13       appropriate regulatory response could vary with the circumstances is  
14       what I hear you saying. Well, of course. I mean -- and we -- we can  
15       try to think ahead and envision an array of hypotheticals and try to  
16       anticipate how we might react but the principal that as a regulatory  
17       agency holding a plant accountable for meeting a regulatory standard  
18       -- you know -- the principal that our -- the appropriate regulatory  
19       and enforcement response will vary under the circumstances, I mean  
20       that's -- that's a principal that is unavoidable. The objective though  
21       is to effectively, meaningfully, hold the plant accountable for process  
22       control to meet an appropriate standard of food safety performance  
23       with respect to pathogen reduction.

24           MR. HIBBERT: Just one more comment. I wouldn't quarrel with  
25       that. I guess the distinction here that I think is important is at least  
26       as I read the proposal the Department seemed to be saying in its  
27       proposal that -- that an above baseline test result would

1 automatically lead to a conclusion that there was a problem with the  
2 process and all I'm suggesting is that that might not be the case in  
3 every circumstance and to the extent the Department is open to that  
4 possibility I think that's progress.

5 MS. FOREMAN; I have -- this is Carol Tucker Foreman again. I  
6 have the flip side of that. Is there going to be a number at which the  
7 Department would decide that that product that you tested was  
8 constituted an adulterated product?

9 MR. TAYLOR: I think that's a very important question and it goes  
10 to Nancy's concern because in what the data tell us about salmonella  
11 contamination in -- -- carcasses, for example, is that there is a high  
12 percent incidence. Generally speaking, the numbers are low. The  
13 reason presumably, therefore, that we have a significant number of  
14 cases of salmonellosis in the United States is due in part to product  
15 handling consequently. I mean that's a reality. One of our objectives,  
16 again, of this initiative is to reduce incidents and therefore reduce  
17 the risk that occurs from subsequent product handling and  
18 mishandling.

19 On the other hand, if we were to find through our testing that  
20 not only is a particular lot positive but has unusually high levels in  
21 light of what we know both about -- -- incidents and also high levels  
22 in light of what would pose a public health concern then you may have  
23 a situation in which at some level we would judge that that product  
24 is unfit for food and safe and we would have to come up with a legal  
25 theory, no doubt, to satisfy Bob, but certainly you cannot exclude the  
26 possibility that at some level -- quantitative level of salmonella  
27 contamination you have a product that should not be in commerce so -

1 - and what we don't know scientifically we don't have any rule and a  
2 science-based sort of ability yet to say here's the magic number of  
3 salmonella organisms. We'd like to know that. I mean we've  
4 foreshadowed in our February document, I mean, an attempt to look at  
5 that but --

6 #3 MS. FOREMAN: Just one final point on that again. If you could  
7 begin looking at -- there is a wealth of studies on this subject of  
8 what might be the dose response on salmonella. It's my understanding  
9 that they start at one level and they run out at another level. There's  
10 a range that most of those studies seem to fall in. Is there enough --  
11 I ask you -- are there enough data that you could begin to determine a  
12 range where you have a human health problem?

13 DR. MORRIS: I'll let Maury answer that in a minute but let me  
14 say also, I think, again, this gets back to the concept that we will  
15 establish initial pathogen targets but this is not a -- this is the way  
16 we're going to do it for the next fifty years system but I think there  
17 has to be a recognition that science is dynamic, our understanding is  
18 dynamic, industry technology is dynamic, they must change and I'm  
19 not sure there are the data that are adequate at the present point in  
20 time to do what you're asking, but at the same time, I would hope that  
21 five years from now there are those data and I think we need to see  
22 this as a dynamic process. Our goal is to improve food safety. It's  
23 not just we're doing this now and we're going to forget about it  
24 forever. This is an on-going dynamic process.

25 MS. FOREMAN: What's the incentive under the system that we're  
26 thinking about to improve that data?

27 MR. BILLY: Yes. An earlier question are there adequate data.

1 You want Maury to -- go for it, Maury. Jim Hodges and Joe have  
2 comments on that. Maury, you want to go first?

3 DR. POTTER: We need to try to address Carol's question. We  
4 have better data right now for some organisms than others. We have  
5 reasonable data to construct dose response curve for shigella.  
6 Shigella is more often person to person than food-born but we do have  
7 some -- some data points through which we can draw a line to predict  
8 the proportion of people exposed at different levels that would  
9 become ill. There are a lot of data for salmonella. The data  
10 unfortunately contradict each other and it makes it a little more  
11 difficult to interpret. There are all sorts of pathogen host and food  
12 influences on response to various doses that complicates the issue. I  
13 think Glenn is correct though. Over the next five years -- five not  
14 years not being a magic number but it's some indication of near term  
15 rather than lifetime sort of generational data that we should be able  
16 to construct at least rough dose response curves for quantitative risk  
17 assessment purposes for many of our important pathogens. It may be  
18 that we can use the shigella dose response as a default, as defaults  
19 are used in quantitative risk assessment and make the assumption  
20 that very, very low doses can cause illness in a substantial  
21 proportion of exposed individuals until we have better data for  
22 various pathogens. I think the dose response curve for E. Coli 015787  
23 is very likely to be similar to the dose response curve for shigella  
24 even though all of the pathogenic mechanisms aren't the same. What  
25 we know about 0157 suggests that they'll be somewhat similar. We  
26 certainly aren't going to intentionally expose various populations to  
27 0157 so that we can get a better curve and I think that we're going to

1 have to recognize that our data won't be perfect in every case but we  
2 may not have to be perfect. As we've suggested in a number of other  
3 areas we don't need perfect information to make socially appropriate  
4 action. If we can at least divide food-borne pathogens into those that  
5 are likely to cause disease at a low dose where time and temperature  
6 abuse may not be so terribly critical, where small numbers coming  
7 out of the plant may constitute some hazard in their own right, if we  
8 can identify those organisms and then identify those organisms that  
9 would require progressively worse handling before they would cause  
10 illness, I think that that may be good enough -- you know -- low,  
11 medium, high sort of qualitative characterizations.

12 MS. FOREMAN: Thank you.

13 MR. HODGES: Jim Hodges, American Meat Institute. I found the  
14 discussion this morning very interesting and there has been a  
15 significant amount of what I consider very thoughtful discussion put  
16 on the table. But I'd like to go back to some of the initial comments  
17 made by Glenn this morning. I highlighted it. The statement, and  
18 correct me if I'm wrong, the statement was that if the pathogen  
19 target, assuming that is salmonella at this point, the pathogen target  
20 is exceeded the conclusion is the HACCP plan is not working.  
21 Correct?

22 DR. MORRIS: This is one -- one conceptual structure.

23 MR. HODGES: I think that's a false assumption. Pathogens occur  
24 in a very random nature in the animal population and it may not be as  
25 a result of what happens in the plant. So to reach the conclusion,  
26 which comes back to Bob's legal premise, to reach the conclusion that  
27 there is something the plant can do or has not done improperly I think

1 is the wrong conclusion based on a statistical sampling program that  
2 says we have established certain incidence levels of pathogens. An  
3 example, to try to bring that to light is, if a slaughter, regardless of  
4 the species, brings in at the lot of animals that's being slaughtered is  
5 positive for salmonella, a hospital type operating environment will  
6 not assure that salmonella is not included on that product. If you  
7 sample that particular product from that particular plant you will  
8 have a positive incidence and by the statistics you could, over the  
9 course of time, reach the conclusion that this plant is out of control  
10 when it is operating in what I will, to some degree, call a hospital  
11 operating environment. You take the opposite side in that a lot of  
12 animals comes into the slaughter facility that is negative of  
13 salmonella. When that lot comes in and it is negative and let's  
14 assume that that plant has less than a desirable slaughter and  
15 processing procedure or HACCP program the sample you draw comes  
16 up negative which is the better operation? Is it the one that has the  
17 negative sample or is it the operation that had the positive sample  
18 yet was probably had better process controls? That's the  
19 fundamental reason that we think that indicator organisms are a far  
20 better indicator of the potential to achieve improved food safety.  
21 Indicator organisms in the form of E. Coli does -- are their numbers,  
22 they are measurable, and they can provide us the best opportunity for  
23 food safety improvement. I'm not arguing the fact that baseline  
24 numbers for pathogens should not be conducted. I think they should.  
25 But to judge a plant on those baseline numbers I think is very, very  
26 problematic.

27 MR. TAYLOR: Let me just ask a question, Jim. I understand

1 exactly what you're saying and let's hypothesize. I want to ask you a  
2 question. Let's hypothesize a plant that -- you know -- whether it's  
3 beef slaughter, broiler plant -- let's hypothesize a plant within the  
4 four walls of that plant has got a first rate operation. They're doing  
5 everything -- you know -- that you can imagine within the four walls  
6 of that plant in terms of the way in which they carry out their  
7 operation. But they produce, whether consistently or episodically,  
8 let's say consistently, product that exceeds the national baseline  
9 incidence of the pathogen that -- you know -- might be the subject  
10 of a target we would set. What is the appropriate public policy  
11 response in your mind to dealing with that phenomenon? Again, a  
12 phenomenon which out of that plant -- you know -- went through the  
13 chain of commerce is product that is consistently exceeding what the  
14 national average consistently is.

15 MR. HODGES: Well, first of all, if you're operating with process  
16 control I cannot envision that kind of scenario occurring.

17 MR. TAYLOR: Then we don't have a problem.

18 MR. HODGES: But it comes down to your sampling plan. If you  
19 take enough samples and you have a statistical confidence -- you  
20 know -- that -- that a plant is operating at above a baseline level  
21 then obviously there's going to be some kind of problem there. I mean  
22 if we go back to 015787 it's extremely low incidence rate at .1  
23 percent. It takes -- it takes enormous impractical numbers of  
24 samples to get any kind of statistical confidence of what the actual  
25 level is in the population of the meat coming out of that plant.

26 MR. TAYLOR: So -- so --

27 MR. POCIUS: This plays into what I had started this morning

1 before we all got sidetracked. We're looking at baseline numbers and  
2 averages and we're saying that's the performance standard.  
3 Yesterday, when we talked about cooked product we talked about  
4 performance standard as benchmarking a 70 process -- reduction  
5 process or the cooling directive or whatever. In this case, what I  
6 suggest what you're after is a measurement of improvement, a  
7 measurement of pathogen reduction and we're not talking about that  
8 yet. We're talking about meeting a number. You don't know whether  
9 anybody's improved or not. Let me finish because if we -- some  
10 people -- other people have mentioned trend analysis -- what I have  
11 mentioned earlier today. If you look at this in terms of trending  
12 throughout the year you know during each time period where your true  
13 baseline is. If you then set a performance standard as a percent  
14 reduction against a known baseline at any time of the year you then  
15 obviate the arguments of at some time we will spike and you'll have  
16 higher numbers, even though it's a controlled plant, because you will  
17 not be measuring against a false baseline. I mean basically that's if  
18 you're looking at a twelve month national average you're not capturing  
19 all the variability.

20 MR. TAYLOR: Let me just ask a question and at some point Tom  
21 will send us away to have lunch but after we can talk about this  
22 because in the preamble to the February proposal we laid out as an  
23 alternative intro strategy for pathogen reduction, an alternative to  
24 using the national baseline and requiring reduction below that, the  
25 idea of establishing what would amount as an interim measure plant  
26 specific baselines and requiring some percentage reduction by each  
27 individual plant in relation to its current baseline. And I certainly --



1 which would mean that -- you know -- there would be some  
2 requirement that the plant establish the baseline through testing and  
3 then get a percent reduction. We expressed concern about that as a  
4 long term strategy because it needs to be a standard of performance  
5 that is national in nature and -- but I'd be interested in your reaction  
6 to that as an interim strategy.

7 MR. POCIUS: A national baseline average is good information to  
8 know if you trend it year after year and then you can say, well, the  
9 industry's improving or the industry's not improving. But you cannot  
10 hold individual operators against a single one year national baseline  
11 average because it does not reflect the variability at any one point in  
12 time that the operator is operating against.

13 MR. TAYLOR: If we don't -- again, this gets to the heart of how  
14 we achieve our objective. If we don't have a measure of  
15 accountability for each plant to use how do we --

16 MR. POCIUS: I'm not suggesting that you don't. In fact, I  
17 suggested that your performance standard be something different  
18 than the national baseline number. What I'm suggesting is that you  
19 want to measure change and improvement and your performance  
20 standard should be a measure of change and improvement, not the  
21 baseline.

22 MR. BILLY: Bruce?

23 MR. TOMPKIN: Bruce Tompkin. I'm Bruce Tompkin from Armour  
24 Swift Eckrich. Certainly if a plant was operating under a HACCP plan  
25 and was meeting its process goals and the agency were to come in and  
26 find that, in fact, that plant was producing a higher level of  
27 salmonella than the rest of the industry or at least the average that's

1 an opportunity. That's an opportunity to learn why. That's an  
2 opportunity to help others find out how to make improvements. I  
3 would suggest that in those circumstances that certainly you're going  
4 to collect more samples and the processor's going to collect more  
5 samples and hopefully as a result of that we will benefit from it and  
6 to -- of course, it's a regulatory concern and I can understand that. In  
7 the short term, shut the plant down is perhaps a -- one direction that  
8 you could go but I would prefer that we take advantage of that  
9 because we are looking for answers.

10 MR. BILLY: Angie.

11 MS. SIEMENS: Angie Siemens from Oscar Meyer. My question  
12 alluded to where Mr. Taylor went relative to the difference between  
13 this program after HACCP is implemented and until HACCP is in place.  
14 I know a lot of the conversations I agree with were well ahead --  
15 once process control is in place, we have HACCP in place, that the  
16 validation of that HACCP plan and the verification of the program we  
17 still have some discussion on that part of it.

18 I do have some questions though of going back to an earlier  
19 question on developing some of the baseline data that you're talking  
20 about and establishing maybe a number in the interim. Are we going  
21 to be held to -- what is current thoughts on compliance relative to  
22 until we get into HACCP and the sending of information to you via the  
23 proposed rule in that you would be required to send the data to USDA  
24 in the interim such that you could substantiate a baseline at that  
25 point? So I know we've got two concepts going. I'm not sure we're  
26 talking some of the interim and where we're headed in that versus  
27 after HACCP and there's a distinguishing mark there, I think, between

1 those two programs.

2 MR. TAYLOR: If we were to shift from what we proposed in  
3 February to something like what we've put in the paper then it would  
4 very much raise that issue of whether the testing that we would  
5 require a plant to do for E. Coli as a fecal indicator whether those  
6 data would be useful to have submitted to us. They would not have  
7 the same utility obviously as a flow of salmonella data would in  
8 terms of building -- you know -- a baseline and so forth. There is a  
9 need to revisit that. I mean it's not clear what the utility would be of  
10 submitting E. Coli -- you know -- data. That's a very good question  
11 we would need to resolve.

12 MS. SIEMENS: What about salmonella testing until HACCP goes  
13 into place? If you're coming, and I don't know how soon it will be up  
14 and running with pooling your testing program prior to having HACCP  
15 implemented in the facilities, is there -- we're talking compliance  
16 once HACCP's in place and the validation of HACCP plans, etc., but  
17 what are your plans on going with that data that you collect until our  
18 process controls are in place and the terms of HACCP?

19 MR. TAYLOR: Again, these are all questions that we're in the  
20 midst of revisiting in light of possible alternative approach. Because  
21 we had laid out a timetable under the proposal where the companies  
22 would be doing the salmonella testing whereby you'd start the testing  
23 at a certain point, start recording and submitting the data to us at a  
24 certain point, and later on we'd start holding you accountable for  
25 compliance for meeting the target. That would all -- has to be  
26 revisited if we go this other route where we're doing the testing and  
27 we don't -- those are issues we invite suggestions on. Thank you.

1           MR. BILLY: I'm going to wrap this up. It's one o'clock. Let's take  
2 an hour for lunch and come back at two o'clock.

3                           (A luncheon recess was taken)

4

5

AFTERNOON SESSION

1  
2 MR. BILLY: Would everyone be seated please. Several people  
3 mentioned or raised questions about both sort of agency and plant  
4 accountability and enforcement in the sense of what happens if with  
5 regard to use of E. Coli or salmonella or other things. If you'll notice  
6 that on the agenda for yesterday under Item C there's a whole section  
7 on insuring compliance with HACCP requirements. There are several  
8 billeted items under that. I think it's another item like timing that  
9 probably fits best in terms of sequence towards the end of these  
10 meetings and so my suggestion is that we move that entire section to  
11 the last day -- the 29th -- and it would enable us once we complete  
12 this discussion do further thinking in the intervening time with  
13 regard to furthering this approach that was put on the table this  
14 morning and how it would work in terms of both accountability and  
15 enforcement. So that's my suggestion and my intent unless there is  
16 some major objection. That would mean that on the 29th, in addition  
17 to the items we've identified, we would have the discussions on  
18 timing that are -- that were earlier on the agenda as well as the  
19 discussions regarding insuring compliance with HACCP requirements.

20 When we left off we were talking about the billet items about  
21 salmonella as the appropriate organism. I'm quite sure that we didn't  
22 finish that. Whether other pathogens would be preferable and then  
23 the utility of targets for E. Coli and other non-pathogenic indicator  
24 organisms as means of controlling or reducing pathogenic organisms.  
25 I think it would be useful -- there are several people that we haven't  
26 called on yet -- haven't spoken yet today and complete that and the  
27 next two items as well and then during the lunch break we were

1 talking and thought that perhaps in the context of measuring  
2 achievement, where we're talking about the purpose of testing,  
3 frequency of testing, maybe it would be worthwhile to talk about that  
4 area under sort of this new thinking, both with respect to near term  
5 and then under HACCP and discussion about how we would use E. Coli  
6 and separately some pathogen performance standards for both near  
7 term and HACCP-based approach. So I want you to be thinking about  
8 that because we'll get to that a little later this afternoon.

9 So right now I'd like to finish this discussion. There are several  
10 people I haven't called on yet. Eric is next on my list.

11 MR. JUZENAS: Eric Juzenas, American Public Health Association.  
12 I've been happy to hear from the Administration that acknowledge  
13 that this really is a public health issue primarily. It's also an  
14 industry issue because that is where the changes need to be made but  
15 it is primarily being done to protect the public health. One of the  
16 reasons that is necessary is that the public doesn't have confidence in  
17 the system and any of the changes that are made I think you need to  
18 take that into account and need to make sure that the public is going  
19 to have confidence. Due to the inadequate scientific background  
20 that's been noted by both people here, the National -- the government  
21 -- let's see -- the GAO and others and the fact that HACCP as a plan  
22 for the entire country hasn't been fully tested, I think that any errors  
23 that we're going to make to be made in the direction of public health.  
24 For that reason we need to consider about what types of things  
25 inspire confidence and might protect the public health. I don't think  
26 testing with E. Coli alone which provides indirect data about specific  
27 diseases would necessarily accomplish that. I don't think that

1 allowing lines to run for weeks or months while moving point sum  
2 catches up to a level where action is deemed necessary the HACCP  
3 needs to be looked at necessarily accomplishes that. I certainly don't  
4 think that saying we don't know about seasonal spikes that occur and  
5 contamination accomplishes that. I think with things like that  
6 there's clear public health things that can be done to address the  
7 problem and make it better. Lines can be slowed down. Trucks can be  
8 less crowded. It's not a matter of we don't know.

9 As far as microbial testing, I think during the interim that it  
10 should be considered of doing parallel testing of E. Coli and  
11 salmonella. E. Coli, as has already been noted, provides a great  
12 measure of fecal contamination. Salmonella will help provide a  
13 paradigm where we can start looking at how we can test for specific  
14 disease-causing agents that are directly affecting the public health.  
15 This will help in developing models of contamination providing a  
16 broad picture of what's going on in the nation as a whole and also  
17 bring the basis to move to health-based standards and away from  
18 national baselines. I think those are all valid interim measures but  
19 since the long term goal must be to protect the public health I think  
20 eventually the only standards we can do are health-based standards.

21 The other issue which you mentioned and I think is coming up  
22 this afternoon is testing frequency and I would love to hear some  
23 discussion about how exactly the moving point sums when it's my  
24 understanding that a beef plant could operate for up to two months  
25 without actually achieving the moving point sum going above the  
26 HACCP violation whether that poses a health concern. It certainly  
27 raises a matter of public confidence and two months seems like a

1 long period of time. For that reason, it may be practical to require  
2 perhaps for small manufacturers a minimum of one test per day but  
3 for larger manufacturers either two tests, three tests, or based on  
4 volume. I think since the largest place having the more frequent test  
5 periods will, if we continue with the moving sum average, allow that  
6 average to catch up more quickly when there's a problem. The goal  
7 here is really primary prevention and many people have noted the fact  
8 that we're not going to obtain zero risk and, in fact, in no public  
9 health situations are you going to attain zero risk. That's a red  
10 herring. But we can definitely target our procedures and our  
11 processes to do better than we're doing today. Thank you.

12 MR. BILLY: Caroline?

13 MS. DEWAAL: Caroline Smith Dewaal with the Center for  
14 Science in the Public Interest. I want to build on what Eric said in  
15 terms of the issue of confidence. And what we're really seeking  
16 speaking on behalf of CSPI and a lot of groups who participated in our  
17 comments is confidence in USDA's new program. I am concerned today  
18 to hear of a move away from pathogen specific testing and I think it's  
19 good to, at some point today, look at the difference between the  
20 interim testing requirement and where you are going with testing in  
21 HACCP generally. But I'd like to pick up on something Jim Marsden  
22 pointed out and that is that we have identified in our comments at  
23 least two roles for micro testing in HACCP. The first is validation  
24 and identification of critical control points. And the second is for  
25 verification purposes as a feedback system for HACCP. I would urge  
26 the Department to consider separating those two goals. I think that  
27 for validation purposes pathogen specific testing is appropriate. We



1 are -- HACCP is designed around identification of hazards associated  
2 with your product and then developing process controls to control  
3 those hazards. Unless you're testing to make sure your plan actually  
4 accomplishes that objective then I don't consider your plan validated  
5 and I think that in developing the final rule I think you really need to  
6 look at what is the role of microbial testing in HACCP plan validation.  
7 I think what we're discussing today is the verification role of  
8 microbial sampling. I'll remind the Department we did recommend the  
9 use of E. Coli -- generic E. Coli -- in addition to salmonella. And we  
10 do agree that industry should do the testing for verification purposes.  
11 I think, however, the Department needs to define the times at which  
12 not only generic E. Coli testing is performed for verification purposes  
13 but pathogen specific testing is performed for validation purposes.  
14 For example, if a plant has new equipment coming on line that would  
15 clearly be a time at which validation -- revalidation of the HACCP  
16 plan should occur. Changes in incoming product which we've talked  
17 about today. Again that might be a very good time for revalidation  
18 and rechecking the system using pathogen specific microbial testing.  
19 Also, new employees are always going to be an issue and maybe that  
20 can be taken care of with verification sampling but I think there  
21 should be some regular revalidation of HACCP plans using pathogen  
22 specific microbial sampling. Whatever control is chosen, the  
23 Department needs to be very sensitive that it's going to drive the  
24 development of the HACCP plans. I raised this in our comments with  
25 the idea that -- you know -- if you control for salmonella it's  
26 somewhat easier to kill using an anti-microbial rinse. You might get  
27 very good results on salmonella and still have major pathogen

1 problems with your product so I -- I -- we don't -- no one has all the  
2 answers but I really would urge caution in picking these -- these  
3 indicators and to look at what the unintended consequences of choice  
4 might be.

5 And, secondly, you really need to look in this particular proposal  
6 of separating out and letting the Department do pathogen specific  
7 testing or pathogen testing at all and having the industry do generic E.  
8 Coli testing. Are you allowing the industry to avoid its responsibility  
9 to control those specific pathogens? I would -- I would urge that is  
10 the industry's duty to do that and that it should be mandated at some  
11 level as part of the final rule.

12 MR. BILLY: Jim Marsden.

13 MR. MARSDEN: Thank you. I actually raised my hand to respond  
14 when Under Secretary Taylor asked for some specifics about the  
15 science of process control and how this concept of performance  
16 standards applies to using the science of process control.

17 What it appears to me the agency's attempting to do is use  
18 statistical process control principles to demonstrate whether or not  
19 an establishment is operating under control relative to the output of  
20 their product and attempting to use salmonella as an indicator of  
21 that. And that kind of system, scientifically, can work but it frankly  
22 cannot work the way it's been proposed. In order for that type of a  
23 system to work, you would have to develop a mean based on each  
24 establishment, probably each line within each establishment, based  
25 on historical data and establish the variance around that mean and  
26 you then set up upper and lower control limits around that mean based  
27 on the standard deviation which, again, is based on the variance and

1 statistically ninety nine percent of the time that plant, if it is under  
2 control, the numbers will be within three standard deviations of that  
3 mean plus or minus. That's just a statistical fact. About one half of  
4 one percent of the time the number will be over three standard  
5 deviations even if the process is under control and when that happens  
6 repeatedly some plants use two times, some plants use three times,  
7 three numbers outside that three statistical process or standard  
8 deviation limit, that's an indication that the process is out of control  
9 and action is required. So long as the plant is operating within those  
10 three standard deviations of the mean scientifically that  
11 establishment or that line would be under control. Now that works in  
12 industry. It's used in the automobile industry, pharmaceuticals and so  
13 on it works very well as a model. But when you start looking at an  
14 industry average it changes everything because an industry average is  
15 not the same thing as the average that's established from historical  
16 data on that line. It's probably got much more variations associated  
17 with it. It doesn't necessary reflect the capabilities of that  
18 particular line and that particular establishment and as a statistical  
19 model, as a result it doesn't work. So in order for this model to use  
20 statistical process control as a model to work, it's going -- it would  
21 have to be done on an establishment by establishment basis. It  
22 statistically doesn't work otherwise and there's books written on  
23 this subject and all kinds of things and it starts to get some of the  
24 things that Jim Hodges and Joe Pocius were raising awhile ago. I  
25 mean those are legitimate concerns. If you establish the historical  
26 data in your plant then it takes into account some of those things.  
27 It's not that you operate out of control as a result or that it's any kind

1 of excuse, but it becomes a manageable process.

2 MR. TAYLOR: Jim, this gets to a very critical point as to what  
3 our objective is and I just need to play back what I'm hearing you say  
4 and correct me if I've misunderstood. But your emphasis on historical  
5 data and experience in that plant as the basis for determining  
6 whether the process is currently in control or not at least implies  
7 that you're suggesting that plants be responsible for -- again, I don't  
8 mean to over simplify what you've said -- but what I'm hearing is  
9 that, as you've describe it, plants be responsible for continuing to do  
10 consistently that which they've done historically. That -- that's not  
11 what this about. This is about having some understanding based on  
12 what others in industry have demonstrated as achievable with current  
13 technology. The philosophy is to bring about change where necessary  
14 to meet what would be judged an acceptable level of performance.

15 MR. MARSDEN: You're totally correct.

16 MR. TAYLOR: Plus this control of drug manufacturing, for  
17 example, is certainly not based on historical experience. It's based on  
18 achieving a stated end product specification and having a process  
19 that's built on all these statistical control procedures you're  
20 described to achieve an end product level of performance -- standard  
21 of performance specification. And so I'm missing some -- I mean  
22 there's a disconnect between us on what the objective is.

23 MR. MARSDEN: Right. There's more to it than that and what's --  
24 the part that's more to it is if a process fails to meet a performance  
25 standard, if the capability of the process is such that a plant is  
26 operating consistently below that performance standard -- it's not  
27 meeting that performance standard -- that means the capability of

1 the process for whatever reason is not -- is not sufficient to  
2 produce, in this case, safe product, which means that you have to  
3 improve the capability of the process. Maybe you're talking about new  
4 equipment or better sanitation or looking at raw materials. Any  
5 number of factors -- you know -- could be responsible for that but in  
6 order for it to work and that model to work you can have -- you can  
7 have a national performance standard -- okay -- you could set a  
8 standard like you have for E. Coli 015787 in ground beef, for example,  
9 and then plants can determine whether or not their current operations  
10 meet that standard given the variability around -- you know -- that  
11 occurs naturally around that line or, if not, what they have to do in  
12 order to meet it and that will work. That kind of a model would work  
13 at least scientifically. I don't know whether it would work from a  
14 practical point of view. There's all kinds of small business  
15 considerations and cost considerations that play into that. But if  
16 you're going to use statistical process control as a model that type of  
17 model would work versus just as a company above or below an  
18 arbitrary national mean they could be above or below that mean on  
19 any given day and that number wouldn't really truly reflect what's  
20 going on in that establishment. There's just natural variation that's  
21 going to occur and it's just going to be almost random chance.

22 MR. TAYLOR: And that's what the moving sum statistical  
23 procedure was intended to account for but --

24 MR. MARSDEN: And it does, at least in part, begin to account for  
25 that and you wouldn't take action until -- you know -- the plan  
26 exceeded that certain level and I recognize that, but nevertheless,  
27 when you use that national average as your base point you have to

1 recognize that almost by definition takes you out of a classical  
2 statistical process model by definition. And that's the weakness in  
3 that approach.

4 Another thing that -- we're talking the science of Dooming here  
5 who used to work at USDA by the way at one time in his life. The  
6 other part of this that we had mentioned is the concept of continual  
7 improvement -- that that's implied in this whole process -- that you  
8 do better and better and better and hopefully with each day and your  
9 performance standard really becomes zero. You may not ever achieve  
10 zero in terms of defects or whatever but that's implied in that  
11 process that you're not just taking the status quo and living with that  
12 forever but you're trying to always move forward to do a better job of  
13 improving the capability of the process.

14 MR. BILLY: Dane?

15 MR. BERNARD: Thank you, Mr. Billy. Dane Bernard, National Food  
16 Processors Association. The term validation keeps coming up and  
17 we've heard again that testing specific pathogens must be part of  
18 validation. As I said this morning, that may be a part of validation  
19 but we have to look at the individual process and product. We were  
20 talking about cooked products yesterday and the performance  
21 standard for that being a 70 kill, let's say, for poultry cook. And I  
22 understand the plan to at least test three commercial lots once the  
23 process is set up as a validation procedure. In that case to test  
24 finished product for presence of salmonella as proof that we have  
25 accomplished a 70 kill simply doesn't make scientific sense because  
26 the initial contamination load is going to be nowhere near that level  
27 and if the bugs aren't there in the first place then it makes no sense

1 to test for the them in finished product so in that particular instance  
2 and many others it would make very little sense because the  
3 information that you would get scientifically would not validate the  
4 process so you need the flexibility to be able to utilize the best  
5 scientific techniques available to validate the process and that is not  
6 always testing for specific pathogens on finished product. In certain  
7 cases that may be so but not universally so I would hope that the  
8 agency would consider that there may be better methods of validating  
9 processes when we get to that point. Thank you.

10 MR. TAYLOR: Dane, I just want to make sure you're clear that  
11 the proposal we're discussing today is -- applies solely to raw  
12 product. We have not proposed any salmonella or other specific  
13 testing for processed -- for finished processed product. In the  
14 context of validating performance standard alternative for cooked  
15 products we talked about yesterday, testing would be part of  
16 validation but we've not -- this interim target for pathogen reduction  
17 concept is with respect to raw product.

18 MR. BERNARD: Thank you for that clarification but through the  
19 discussions today that hasn't always been clear because several from  
20 the floor have mixed their whole concept as to where we're going and  
21 I might -- you know -- add as a tag line that even when we're dealing  
22 with raw products one must consider the prevalence of the organism  
23 that we're targeting in terms of whether testing for a specific  
24 pathogen is indeed going to give us results that will give us faith in  
25 the validation of that process. We certainly don't rule it out but just  
26 to say that we tested for something and didn't find it just means that  
27 we've -- specifically that -- that we didn't find it, not that the

1 process that we submitted that product to was indeed a valid process.

2 Thank you.

3 MR. BILLY: Mike?

4 MR. ROBACH: Mike Robach, Continental Grain Company also here  
5 with the National Broiler Council and in the view of speaking about  
6 raw product, getting back to looking at E. Coli, I would like to refresh  
7 everyone's memory on the results of the second scientific and  
8 technical conference which was held in Philadelphia which was the  
9 role of microbiological testing in verifying food safety and in the  
10 expert panel summary report and recommendations I think there are  
11 some very germane points that need to be addressed and inserted into  
12 the record once again. Really, microbial testing, in the panel's view,  
13 was a function to demonstrate process control and there is a very  
14 strong need to base process control with respect to fecal  
15 contamination on approximate indicator for enteric pathogens.  
16 Microbial testing for process control must not be confused with lot  
17 acceptance testing. And with that purpose in mind, the panel  
18 concluded that E. Coli would be the most effective measure for  
19 process control for enteric pathogens. The panel did an excellent job,  
20 I think, in assessing the information presented to it at that panel and  
21 they looked at the various characteristics that needed to be  
22 considered. Some of the more important ones are association with  
23 the presence of enteric pathogens and in the case of slaughtering the  
24 presence of fecal contamination. Higher frequency than salmonella  
25 and quantitative nature of analysis permits more rapid and more  
26 frequent adjustment of process control. It should also have similar  
27 survival and growth characteristics as the enteric pathogens. It also



1 addresses laboratory safety and a greater feasibility for in-plant  
2 analysis as Dr. Brown mentioned this morning and it has to have a  
3 wide acceptance in the international community as an indicator of  
4 enteric pathogens. And I think, clearly, E. Coli meets those criteria  
5 as an indicator of both process control and also as an indicator of the  
6 potential absence of enteric pathogens.

7 One of the other issues then taking on that is looking at targets  
8 and how that can fit in to a program of process control. And the first  
9 thing we have to understand is that available literature and published  
10 data do not provide the information we need for a baseline. Sure,  
11 companies have been doing analysis for a long, long time. However,  
12 that analysis has been done by different methods, different sampling  
13 techniques, and to go back and try and combine that into a data base  
14 that would be meaningful it would be impossible and wouldn't be  
15 scientifically valid.

16 So the panel has looked at this information and suggested that  
17 the measure of process control could be considered thusly. First, that  
18 the level of E. Coli on chilled carcasses shall not exceed the level  
19 present on freshly defeathered, dehaired, or dehided carcasses. It  
20 takes into consideration the animal that's arrived at the plant and is  
21 a more accurate view of process control that you are not increasing  
22 numbers through the process but you are reducing numbers through  
23 the process. Seemingly, your process would be in control if that's  
24 what your result was. The second issue relates to continuous  
25 improvement. This information could be generated in a consistent  
26 manner which then could be the basis for a baseline which then could  
27 be used to measure continuous improvement against taking into

1 account -- it would have to take into account in the development of  
2 this the seasonal variation and day to day variation we see as we  
3 process a biological animal. Unfortunately, unlike pharmaceuticals  
4 and chemical processes, cows and pigs and chickens don't always  
5 enter the plant in an identical fashion and we have to take into  
6 consideration this biological variation as we're establishing a  
7 program that gives us the confidence we need that we are doing a  
8 better job in the area of food safety.

9 MR. BILLY: Caroline, did you want to say something about what -  
10 -

11 MS. DEWAAL: Yeah. We heard a number of times today that the -  
12 - the companies can't control the condition of the incoming product  
13 and I want to remind you of a couple of episodes where the lack of  
14 that control, even in the presence of HACCP in plants, resulted in  
15 major outbreaks -- Schwan's Ice Cream -- they had HACCP in their  
16 plants, they had control product that came to them contaminated and  
17 they sold a ton of ice cream in a bunch of states and caused  
18 something like five thousand illnesses from salmonella. San  
19 Francisco Salami Company, I believe is the name of the company, they  
20 also -- incoming product contaminated with O15787. The bottom line  
21 is, no, you can't always control the condition of incoming product but  
22 it should be a critical control point. It should be one of the things you  
23 look for. If you -- if there's something utterly surprising and you  
24 don't find it and it slips through then maybe then it will come out as  
25 part of your end product testing. Maybe it will come out as a weird  
26 result somewhere else along the line. But you should be able to  
27 control incoming product. I mean this is something you purchase or

1 something you control that's going into a product potentially with  
2 your name on it. It's something that if you are just giving up a lot of  
3 responsibility to say hey, I can't control the product coming in to me  
4 so I can't control what goes out my door because you're the only one  
5 who can. That is -- that is a control you have along the chain -- the  
6 farm to table chain -- to -- to making the product safer so I guess I  
7 just have to object to this concept that industry can't control  
8 incoming product.

9 MR. ROBACH: This is Mike Robach back again. I don't want to  
10 confuse two issues that I think you've confused. The incidents you  
11 mentioned are ready to eat products. What I'm talking about is a raw  
12 ready to cook product and I think there's a big distinction between the  
13 two products. When I'm preparing a product that's ready to eat it is  
14 just that -- ready to eat. When it's ready to cook it is that -- it's  
15 ready to cook and it may have pathogens on it because my process is  
16 not capable of eliminating those pathogens so I think there's a  
17 distinction you need to draw between ready to eat and ready to cook  
18 products.

19 MS. DEWAAL: I think -- I think that you still can exercise  
20 control at the door of the plant on the product you bring in and I think  
21 if you don't or if companies don't they're not fulfilling their  
22 responsibilities in the farm to table continuum.

23 MR. BILLY: Okay. Joe?

24 MR. MAAS: Hello. My name is Joe Maas. I'm with JTM  
25 Provisions. I say that and probably nobody knows who that is but I'm  
26 used to everybody else saying -- --. I know just who they are.

27 I'm trying to still be as careful as I can so that I don't feel like

1 I'm representing all small business although I have association with a  
2 lot of small businesses my size just through -- from a social  
3 standpoint.

4       Once again, there's been some talk in the last couple of days  
5 with regards to large and small companies meeting the same  
6 requirements but I think that it's important to point out that small  
7 businesses tend to be much more motivated to insure the safety of  
8 their products when they leave the plant -- that they have a higher  
9 degree of economic motivation in a small plant possibly than in a  
10 large plant.

11       My question is, is if that's true, in my plant I have -- if my  
12 micro population is -- exceeds your expectation, exceeds the baseline  
13 currently, today, whatever the baseline is -- you know -- my micros  
14 in my plant are -- are -- exceed -- I don't want you guys to keep  
15 saying above and I don't know if that means below or above -- but if  
16 they exceed or they're better than what the baseline is I wonder -- I  
17 wonder why it's important then in my plant or in any small business's  
18 plant that they would have to be mandated to institute HACCP. I  
19 believe that on a -- that small plants experience the economic burden  
20 of putting these plans in place, maybe to a larger degree than large  
21 plants, and I believe that they're currently motivated to put out a safe  
22 product to a greater degree than maybe what large plants are. So  
23 maybe that's one reason why small plants might get a different  
24 consideration than larger plants.

25       And the second thing that I wanted to point out that without  
26 making this too long that we keep talking about trying to reduce the  
27 incidence of occurrence of illness in the marketplace and back in --

1 in the history of my life I was in pharmacy college and there was a  
2 theory that was always brought up in class that there is what is  
3 called the hundred percent theory -- that if a patient experienced a  
4 specific drug effect -- a side effect of a drug -- then it's a hundred  
5 percent with regards to that patient. My point is that I'm not sure  
6 that just lowering the incidence of occurrences is really going to be  
7 that beneficial. If my kid gets ill I don't care if you've lowered it  
8 from ten percent to nine percent it's a hundred percent as far as I'm  
9 concerned. I don't know that you've done anything. I just wonder  
10 exactly what the -- I just have a hard time understanding -- you know  
11 -- where this whole thing goes. I think that overall hopefully we can  
12 -- you know -- maybe do more in education long term and maybe that  
13 might have a better impact in resolving a lot of these issues.

14 MR. TAYLOR: Joe, let me just respond particularly to your first  
15 question but I'll also say something about your second.

16 The conceptual answer that -- to your question about if you're  
17 meeting some pathogen target or other microbiological target why  
18 should you have to do HACCP and the conceptual answer to that was  
19 out in the conversation very prominently this morning and it is  
20 because from a conceptual standpoint the belief is that -- you know -  
21 - producing a safe product doesn't happen by accident and we need --  
22 and so you need some form of process control coupled with some  
23 measure of accountability for achieving it. In your plant, and as  
24 you've cited the last couple of days, you obviously invested more  
25 energy and effort, you've got a plan for producing safe product and I  
26 can understand the question than well, why should you have to  
27 conform to this HACCP regime? I guess our challenge, I think, as an

1 agency, in light of the -- of what we've heard from commentors  
2 across the board about the desirability to have a basically a national  
3 -- you know -- consistently applied HACCP program -- our challenge,  
4 I think, really for companies like your's that are up operating -- you  
5 know -- aggressive quality -- you know -- safety plans, if you will,  
6 is to make your compliance with this new regulatory requirement  
7 absolutely as easy as possible. If you're already doing all the things  
8 that need to be done in terms of hazard analysis and you described it  
9 -- you know -- very practical terms yourself, we're not wanting a  
10 regulatory requirement that -- that requires effort that is not  
11 productive towards producing safe product and -- you know -- that is  
12 form sake so, on the other hand, I don't think you asked for an  
13 exemption from the HACCP requirements so the issue is how can we,  
14 through whatever means in terms of whether we write the regs and  
15 the assistance we provide, make it as easy as possible for you to be -  
16 - to comply with the requirement.

17 MR. MAAS: I would say that's fairly accurate, Mike. Overall, in  
18 keeping in mind the economic burden that might be placed -- you know  
19 -- it's a function of -- I mean I spend money every day to make things  
20 better. I am -- I spend money -- it's incredible what it costs to just  
21 do anything every day just to make things better -- but, you know, I  
22 just don't spend any money if it doesn't make it better. I don't spend  
23 any money -- I do not have that kind of powder so to speak to -- to  
24 spend money on things that sugar coat things. I only have the money  
25 to spend to make things better.

26 MR. TAYLOR: Right. Well, your second point, I agree with you,  
27 that from an individual human standpoint, if you're the person who

1 becomes ill or suffers a loss of family member at a personal level it  
2 doesn't matter at all probably or at least not a great deal that we've  
3 reduced the risk of food-borne illness in the country. But if we do --  
4 you know -- reduce significantly the incidents of contamination of  
5 raw product with pathogens in the first place we have reduced -- we  
6 believe that will lead to reduction in the number of people --  
7 families -- who are at risk from that risk being rendered unsafe  
8 through the growth of that pathogen and subsequent -- you know --  
9 consumption of a product that's unsafe. So, I mean, I recognize that  
10 we're dealing here with sort of broad public health and so forth and  
11 we're not going to eliminate illness.

12 MR. MAAS: I'll acknowledge that as well, Mike, but I'm not -- I  
13 am yet to be convinced and it's yet to be seen and I asked Tom at the  
14 Crystal City meeting -- you know -- we're going -- I guess we're  
15 going to monitor this baseline, we're going to look at public -- at  
16 incidents of public illness and we're going to monitor that over the  
17 next three to five years and my question was, is after three to five  
18 years if the baseline doesn't move, if public health is not improved, if  
19 food-borne illness occurs at essentially the same rate, then are we  
20 going to -- are we going to then maybe remove this HACCP regulation  
21 from the books because it carried no effect and it only placed an  
22 expense and did not have an effect -- a positive effect? That's the  
23 question.

24 MR. BILLY: Beth.

25 MS. LAUTNER: Thank you. I thought I might have this baby  
26 before I got a chance to talk. I have made comments about trusting  
27 veterinarians more than M.D.'s a little bit.

1           When I wanted to speak was when we were talking about  
2 baselines exceeded as far as pathogen testing and what action would  
3 be taken and one suggestion was not allowing the plant to operate. I  
4 guess what concerned me was talking about imposing regulatory  
5 standards with compliance monitoring when have some critical lack of  
6 data in really two areas. We've talked about salmonella being out of  
7 the packer control and looked at the producers and I am with the  
8 National Pork Producer's Council. And I think it's also a valid point  
9 that the salmonella types of programs are really not developed to the  
10 situation where the producers really have those in their control as  
11 well, both when you talk about salmonella in herds. It's random  
12 between herds and then within a herd -- very randomly distributed.  
13 There's a lot of research that's being done -- both USDA and the  
14 industry -- to look at this research epidemiology transmission,  
15 detection techniques, management intervention strategies, the  
16 impact of transportation, the impact of feed withdrawal. We're  
17 involved with Iowa State in bringing a researcher over from Denmark  
18 that's worked with their system there to look at how it would apply  
19 to this country. So there's a lot of work being done in this area, a lot  
20 of work starting to fall through in interventions on the farm and  
21 follow through into the plant to see if that can carry through. But at  
22 the time, we don't have the data for the packer to come to the  
23 producer and say, do something, fix this before this animal reaches  
24 my plant. That's my first concern about the lack of data.

25           The second concern is about actually setting the salmonella  
26 standard with regard to pork and in the Federal Register notice there  
27 is some discussion of this of the fact that there's been few studies of



1 salmonella in pork carcasses and comes to the conclusion in the  
2 regulation that they used Canadian data for what the U.S. baseline  
3 should be if we have to take a number now. I've been on over thirty  
4 farms in Canada. They do have some different situations and  
5 different salmonellas so I think there is some question about how  
6 valid that would be to take a Canadian sample. And, in fact, in the  
7 next paragraph it talks about Canadian samples of turkeys and notes  
8 that their level on turkeys was four times what the U.S. standard was  
9 and said, well, we won't use the Canadian numbers, we'll use the U.S.  
10 numbers and then the next paragraph says we have no data for  
11 baselines of other species so we won't look at other species.

12 My point with all this is that as we look at trying to put  
13 together a bunch of disjointed data that's been collected from  
14 different isolation techniques, some collected before chilling, some  
15 collected after chilling, some at side samples, some swab samples,  
16 sponge samples, at different seasons, different isolation techniques,  
17 different sensitivities, and when you take that information and then  
18 ask industry to give you some more information and kind of mix it all  
19 together and then come out with a number that's not necessarily good  
20 science and it can be that poor that as not as good as no data. So I  
21 guess my point with it is that if you're going to look at doing some  
22 type of compliance monitoring with a number that we have to have  
23 quite a bit of confidence in the validity of that number.

24 MR. TAYLOR: Beth, what's your suggestion for how we do that --  
25 gain that confidence?

26 MS. LAUTNER: I thought you wouldn't let me make a comment  
27 like that without saying. But I think in our comments what we

1 suggested was that it be more appropriate to have the baseline data  
2 put together for pork because as you look at these studies and I did  
3 some of this review of looking at these studies people were using all  
4 sorts of different techniques for isolation and swabbing and those  
5 types of things and the seasons have an impact and those types so I  
6 think it's important to look towards FSIS's baseline data.

7 MR. BILLY: Lee?

8 MR. JAN: Lee Jan with the National Association of State Meat  
9 and Food Inspection Directors. I just wanted to get a clarification of  
10 the first comments from this morning Dr. Morris made about the E.  
11 Coli testing and then the salmonella testing by the FSIS and what I  
12 understood may not have been exactly what was said or it's not the  
13 same as was understood by others because from what I was hearing  
14 later in the morning was about taking grant away or taking some  
15 regulatory action against a plant that didn't meet the levels or they  
16 didn't meet the standards. It's my understanding that E. Coli would be  
17 under this current thinking that E. Coli would be the organism to use  
18 to test process control and that FSIS would sample for salmonella  
19 much like today's national monitoring program that would be done on  
20 a random to see that the industry standard has decreased or industry  
21 average has decreased. That's what we have our knowns for now. But  
22 then, like I say, later on in the morning I've heard some comments and  
23 questions about what happens when a plant exceeds those standards  
24 or those averages or we're going to close the plant down so that  
25 brings to mind is if the intent is that the plant do the testing for E.  
26 Coli to verify process control and then FSIS does testing to -- for  
27 salmonella to prove that the plant -- that each plant is meeting

1 average goals then that's going -- it seems to me that's going to be a  
2 lot of testing that's going to be done by FSIS and I'm not opposed to  
3 any testing that FSIS wants to do as long as they pay for it but then  
4 when it comes to state programs or -- and we are equal to -- are we  
5 going to be able to send samples to FSIS laboratories because we're  
6 not getting money with the mandate. So I guess I got two questions.

7 MR. TAYLOR: You've all but spoken the magic words of unfunded  
8 mandate and that's something we have to -- that's a very important  
9 question -- consequence of the states are taking an approach like that  
10 and -- you know -- that's something that needs focused attention. I  
11 mean I don't have obviously an answer right at the moment.

12 You raised an issue though that we were talking about a good bit  
13 over lunch and in terms of clarifying sort of what our current  
14 thinking is I'd like to play back what you said and then pose a question  
15 to you and to others because we did speak this morning about the  
16 possible regulatory consequence of a plant under this model we put on  
17 the table yesterday, the possible regulatory consequence of a plant  
18 being found not to meet the pathogen reduction targets say for  
19 salmonella, if that's the organism. We talked about how that would  
20 put the agency in a compliance mode and we'd go back and say you're  
21 not meeting the target, you need to correct your process, and at some  
22 point if a plant was unable or unwilling to meet the target then that  
23 could result in this sort of regulatory action that you mentioned in  
24 terms of including withdrawing inspection. And that's sort of our  
25 current thinking about the consequence of not meeting that pathogen  
26 reduction target. It is a separate question. If we, under this  
27 construct, adopt generic E. Coli as a process control indicator what is

1 the appropriate consequence and this is the plant would be -- under  
2 this construct the plant would be conducting some form of testing to  
3 determine whether that performance standard for process control  
4 was being met, what should be the consequence of the plant finding  
5 that that performance standard is not being met and that's something  
6 on which our current thinking is not as developed as our current  
7 thinking on the issue of the consequence of not meeting a pathogen  
8 reduction target and I would ask for your view and the view of all the  
9 process control experts and food safety experts in the room what the  
10 consequence should be.

11 MR. JAN: Well, my view would be if you're talking about the  
12 plant testing -- part of the testing the plant does and it doesn't meet  
13 the performance standard which has yet, I think, to be established but  
14 whatever that happens to be if they don't meet it then it's their  
15 responsibility to look at their processes just like they would if they  
16 were under a true HACCP and look at their process and if they fail to  
17 meet it then it would be -- I have no problems going through with  
18 what the proposed rule was. I mean if you fail to meet it and even  
19 after -- I mean you have other options. You can change -- you look at  
20 your process, you change -- make the changes. Maybe it's time to add  
21 microbial wash or prewash or whatever, but after you've made those  
22 changes and you still are unable to meet within that period of time  
23 then I think you do have to go through that process of suspending or  
24 withdrawing the product or going to a fully cooked. I mean -- you  
25 know -- like you would another process. It goes to cooking like for  
26 TB or something.

27 MR. TAYLOR: Would it be possible, Mr. Moderator, if we just sort

1 of invited -- you know -- any other comment on the specific point of  
2 if we were to adopt generic E. Coli as a process control performance  
3 standard, if you will, an indicator of process control and subject to  
4 testing by the plant, what is the consequence of not meeting the  
5 standard. I mean I just would appreciate views.

6 MR. BILLY: Dane?

7 MR. BERNARD: Thank you, Mr. Chairman. I would agree with the  
8 tact that Lee has suggested here. I think everybody has to understand  
9 the dynamic of putting together a HACCP program. We were  
10 discussing yesterday and it has somewhat to do with the topic that  
11 we kind of wound up yesterday with pre-approval. You can get the  
12 best experts together and you're going to sit around tables and you're  
13 going to draw up something that looks good on paper and after some  
14 months you're going to take it to the plant on Monday morning and  
15 you're going to put this thing into implementation, you would, by noon,  
16 you're pulling your hair out trying to figure out why this thing doesn't  
17 work. It will happen and if you're using E. Coli as your performance  
18 standard or whatever it is you're using as your performance standard  
19 you're going to find that things are not working quite right. So you go  
20 back to the drawing board and say, okay, well, it's not working, our  
21 limit's not right, have we not identified the right critical control  
22 points. It's a dynamic process and everybody should understand that.  
23 This thing is going to be modified in the first few months even in a  
24 single process weekly. You're going to be making changes. It may be  
25 major changes or it may be just a nip and a tuck but until you -- you  
26 know -- it's a constant process. It's not just validation and  
27 revalidation. It's a constant process of looking and getting this thing

1 to where you're satisfied with it. And it's exactly what Lee was  
2 saying. If you're not meeting that performance criteria then you  
3 figure out why you're not meeting that performance criteria and you  
4 make those adjustments. But keep in mind -- you know -- the  
5 discussion we had yesterday, we're not going to do a HACCP plan, go  
6 put it in, and it's going to work. Forget about it. You're going to have  
7 to make those adjustments and you're going to have to be fluid with  
8 this thing and do what you got to do to make it work. Thank you.

9 MR. BILLY: Jim?

10 MR. LOCHNER: Jim Lochner. I'm going to make one comment at  
11 the end but I'll save it. The specific thing that you asked -- the  
12 specific subject matter -- what you do is very difficult.  
13 Anybody's who tried to solve a microbiological problem in a plant  
14 recognizes that it is sometimes months and sometimes they go away  
15 and you have no idea why. It just got better. You don't have the luxury  
16 of changing one item and seeing a cause/effect relationship.  
17 Normally it's a situation where you go through all your critical  
18 control points, all your GMP's, all your sanitation, and if in doubt,  
19 change it and get focus on it. So any number of them -- one or many  
20 things -- could have caused the -- the improvement or conversely  
21 normally it's a series of minor adjustments that are needed to get it  
22 back into control. If you could waive a magic wand it would be easy  
23 but that isn't the process. The process is finding out and it's several  
24 things and I've tried numerous tests pinpointing specific areas for  
25 both looking for E. Coli species or spoilage organisms and quite often  
26 it goes back to basics but about one out of every eight to nine -- ten  
27 times it's something so bizarre you would have never thought of it

1 and it falls into the surprise curve so it's going to be difficult to  
2 mandate an enforcement activity on something that is very difficult  
3 to go after. But the only thing you can do is go back through your  
4 process and continue to make sure that you comply with your own  
5 critical limits but it may not be entirely obvious.

6 MR. BILLY: Carol? Caroline?

7 MS. DEWAAL: I just at some point when there's a -- when a  
8 plant continues to fail to meet its performance standard for E. Coli  
9 they need to revalidate that plan. I mean it may not be the first time  
10 around but at some point it's not enough just to go back through. You  
11 should need and you should mandate formal revalidation and if the  
12 plans don't work then -- you know -- then the validation will show us  
13 that.

14 MR. BILLY: Bruce?

15 MR. TOMPKIN: Bruce Tompkin from Armour Swift Eckrich. One  
16 thing that happens when you're not meeting a criterion and it may be  
17 your own or it may be a government in this case it's not been  
18 mentioned -- you start collecting a lot of samples and you analyze  
19 the data and it's the data that lead you through that maze of trying to  
20 determine what went wrong, what is wrong, what needs to be done to  
21 correct it. And in the fact whether that is a problem and the extent  
22 to which it may be depends on how tight that criterion is that we're  
23 all talking about. That's an important number.

24 With regard to process control systems and how we go about  
25 this it's not been mentioned but there are different ways of arriving  
26 at a number that a company may use. For example, today for a  
27 perishable raw meat or poultry product. One way of doing that is to

1 do what we call a flow sheet sample. That involves collecting  
2 samples of the product at various stages during processing, analyzing  
3 those samples, and determining which steps in the process cause an  
4 increase or decrease in a microbial population. That information is  
5 used to allow you to understand what's happening and where you can,  
6 in fact, exercise control. And that's how you can -- it's that  
7 information that allows you to pinpoint perhaps certain PCP's in a  
8 process. And then once they're identified you may not take any more  
9 samples at that point on a regular basis but only on an intermittent  
10 basis and particular if you have a problem. Now, eventually if all  
11 goes well, it's very common to get into the situation where you're  
12 only testing at the end of that process and in this case it could be at  
13 the end of chilling for a carcass product -- carcass. You have a  
14 number to go by. It would be your corporate number and in this case  
15 it could be one that's going to come out of this deliberation. That's  
16 your target. If you find that you're not in compliance or you have a  
17 trend showing you're going out of compliance then you have to go back  
18 and start flow sheeting again. It's -- because the fact that the  
19 microbial population is not visible, you have to rely on microbial  
20 tests. Those basically are the eyes in the system. That's really how  
21 it's done from a -- within industry and it works favorably in terms of  
22 meeting certain goals, whether they be for shelf life or for food  
23 safety goals and that sort of thing.

24 And as long as I do have a chance now to speak responding to  
25 your earlier question as to the relative merits of E. Coli I think it's a  
26 very practical target organism for process control. It's an effective  
27 means to learn what we need to know whether we are in control and



1 Barry Marshall's not here but it does have international consensus as  
2 an indicator of fecal contamination and Jack is indicating he would  
3 agree. So I think that it will fit in well with anything that should  
4 come about relative to Codex or anything -- international trade that  
5 we're going to deal with. Certainly the National Advisory Committee  
6 supports that concept in light of what they just -- their  
7 recommendations to you. So I think the direction of going toward E.  
8 Coli is a step in the right direction for industry to assess whether  
9 they are in control or not.

10 With regard to the agency, I think it is important to maintain  
11 some testing for pathogens. It's been needed all along. It's not a new  
12 requirement or desire. This should be -- we should have had this kind  
13 of data in place, program in place for twenty -- thirty years.  
14 Salmonella's not a new problem. It goes back a long way and it's  
15 important because we're going to through some fundamental changes  
16 in inspection, regulations. I know there's a lot of interest now from  
17 the farm to table. There's a lot of activity at the farm level as Beth  
18 has mentioned. We should be able to measure those changes. We need  
19 to know whether we're making progress or not and we want to know  
20 where we are relative to pathogen testing or the incidence and  
21 prevalence of pathogens and salmonella is an example of what you  
22 could test for but you may want to modify that by species of the  
23 animal.

24 So that's an important goal for this -- or target. We want to  
25 know where we are and where we are going. The other aspect of  
26 pathogen testing on the part of the agency is what do you do when you  
27 have a plant that seems to be or on the basis of your data has been

1 non-compliance with a target level or, as I'm going to call it, a  
2 performance criterion?

3         You do have a model for listeria monostogonese (phonetic sp.) in  
4 unpackaged bulk product. You do not take action on those products if  
5 you find a positive sample. In fact, what you do, the plant is notified  
6 and then you go back to re-sample. Now, and then that gives the plant  
7 an opportunity to generate samples. They can do their own testing,  
8 they can investigate, but they really need to get prepared in the event  
9 you do return and, in fact, they have to determine -- they have to do  
10 that testing anyway to get their own level of confidence up. So  
11 taking action on an individual set of samples goes against what we've  
12 been talking about with regard to HACCP. HACCP allows you to look  
13 at a lot of data and in the event a plant has a problem you do have the  
14 E. Coli trend data to look at. There are other data generated at  
15 various steps and CCP's that data's available. So you're not really  
16 working blind with a set of salmonella results. You really have an  
17 opportunity to go back and look at the other data. I think what that  
18 does, it gets away from the snapshot approach of inspection. It  
19 allows you to use all these data and to follow trends. Unfortunately,  
20 what's been mentioned so far by several individuals -- Jim Hodges  
21 started it perhaps -- but it is unfortunate that there -- live animals  
22 -- the presence of certain pathogens in live animals is intermittent  
23 and it's -- if you look at the data and literature that is a very  
24 significant factor influencing whether a plant has a salmonella, for  
25 example, in its product on a given day for raw meat or poultry. So on  
26 that basis alone it's important that you not take action on a single  
27 sample but go back and repeat and I think that through

1 microbiological testing we can get to the goal that we're seeking.

2 Thanks.

3 MR. BILLY: Bruce?

4 DR. MORRIS: Let me just pick up on something you said about  
5 halfway through there. I mean one -- again, one of the open issues  
6 here is what the appropriate pathogen is for the targets and you had  
7 indicated that salmonella appeared to be reasonable, although not  
8 necessarily for beef. I mean would you care to indicate other  
9 possible pathogens which could be used for establishment of target -  
10 - very specific product groups or commodity groups?

11 MR. TOMPKIN: Of course, in poultry group it's salmonella or it's  
12 campilobacter (phonetic sp.). That would seem to be -- either of  
13 those would be more appropriate for a pathogen but in a laboratory  
14 you're going to want -- I prefer testing for salmonella. It's a hell of a  
15 lot easier. Beef is a lot more difficult because of the lower incidence  
16 and so finding an alternative for salmonella with high prevalence,  
17 fortunately, I don't know that we have such a pathogen and that's a  
18 good position to be in. The fact that we don't have a high prevalence  
19 of something else is good. So it makes your task more difficult in  
20 assessing whether a given plant is, in fact, in compliance with the  
21 target. The number of samples the agency would have to run would be  
22 considerable.

23 DR. MORRIS: It's a lot higher.

24 MR. TOMPKIN: Right. Now with regard to the baseline data,  
25 those tests were -- those baseline studies are important. They  
26 provide an important piece of data to our base. We understand.  
27 However, they're not really conducted in a practical manner for a

1 compliance program or for a processor's program. Cutting off tissue  
2 is really a cumbersome and perhaps even a risky approach to getting a  
3 good microbiological sample. There have to -- I think that as you  
4 proceed and go down this path you should consider alternatives that  
5 are more suitable to -- or more friendly, let's say, to sampling and  
6 testing, but still yet providing a good valid analytical sample that  
7 gives us the information we need.

8 MR. BILLY: Joe?

9 MR. POCIUS: I'm not sure what I can add to all that. The only  
10 other thing that I might though -- once you've gone through all the  
11 steps that Bruce has described and you had asked what empowerment  
12 or enforcement action, I believe, compliance and enforcement the  
13 agency might take, I mean if it becomes clear that failure is on the  
14 part of the plant the proposal has described that as a revocation of  
15 the HACCP program. Without a HACCP program there is no processing.  
16 Basically the plant shuts down. That's a high motivation not to try  
17 and cheat on a HACCP program. The other thing that a continual  
18 failure might indicate is that your performance standard wasn't  
19 properly set or in the case of a continually improving performance  
20 standard you finally hit -- you're so far down into the toe on that  
21 curve that it can never been hit. Someone earlier described that as  
22 sooner or later your performance standard will be zero and you can't  
23 hit zero. So everybody eventually will fail and that should be an  
24 indication that you hit the bottom.

25 MR. BILLY: Okay. Katie.

26 MS. HANNIGAN: Katie Hannigan with Farmland Foods. Very basic  
27 question. Why do you require both carcass and ground meat sampling?

1 MR. TAYLOR: There's something going on here because Tom and I  
2 were just saying that the one real important question we wanted to  
3 get out on the table was this issue of ground beef or ground meat --  
4 you know -- because we proposed that the targets for pathogen  
5 reduction would apply both to carcasses in slaughter plants and to  
6 ground product and we would like some discussions of the pros and  
7 cons of that.

8 MS. HANNIGAN: Can you say why it was proposed that way  
9 though?

10 MR. TAYLOR: Well, I think the -- I mean the concept generally,  
11 and others can contribute -- you know -- was that this is a large  
12 component of fresh, raw, ready to cook supply of meat and poultry of  
13 the country and we would like, obviously, to have the confidence that  
14 we're reducing pathogen levels there. But we realize through the  
15 comments that there are -- you know -- very legitimate questions to  
16 -- you know -- have been raised about whether that's feasible,  
17 desirable, necessary, and certainly -- I mean once an area, the way  
18 it's been hit on in the comments very heavily, is in a plant that -- you  
19 know -- consists of a continuous operation where we're -- you know -  
20 - slaughtering and grinding in the same plant and someone earlier --  
21 maybe it was Joe -- used the example of you could be in the mode of  
22 doing a test -- you know -- someone who goes from slaughter to  
23 finished package sausage in an hour -- I forget who said that -- but  
24 that if you're testing twenty -- Kim -- you could be testing twenty  
25 minutes apart and is that really a good -- I mean is that necessary,  
26 appropriate, helpful, so we do have some questions that have been  
27 raised by the comments about how we ought to apply -- you know -- a

1 pathogen reduction target specifically and perhaps also the fecal  
2 indicator -- you know -- process control testing for generic E. Coli. I  
3 mean the same questions might be asked. We would appreciate some  
4 discussion about that.

5 #4 MR. MAAS: In a plant such as mine where I'm strictly a further  
6 processor, I do know slaughter. I buy all -- I bring in boxed beef, I  
7 grind it, and form it. The only thing that I can do is to maintain the  
8 micro population. I can -- you know -- it would be my goal not to get  
9 it go -- not to let it get higher in the amount of time that it's inside  
10 of my plant. But I'm not sure that I have any means to reduce it. I  
11 mean I grind and form and sell raw so my goal inside my plant is to  
12 whatever the micro population of my raw materials that it doesn't  
13 increase but -- you know -- once again, my next question regarding  
14 the baseline -- you know -- I'm limited in what I can do and what I  
15 can't do. With regards to a baseline as it relates to my in-coming  
16 product, so as long as USDA stamp means that I -- you know -- that  
17 I'm grinding off of means that's the baseline or below or above,  
18 whichever you guys look at that, I cannot lower it. I can only do what  
19 I can to maintain the population when it's in my plant.

20 MR. BILLY: I have a question for you about that. Do you have  
21 specification for your raw material?

22 MR. MAAS: I just -- my specification is that it be USDA  
23 approved when it comes to me.

24 MR. BILLY: Even after the discussion the last couple days?

25 MR. MAAS: I'm sorry. I was being -- I'll be glad to answer your  
26 question. It's nice to hear people laugh on occasion.

27 MR. BILLY: I agree with that, particularly on Friday afternoon.

1           Have you considered that beyond reliance on the inspection mark  
2 giving where this is heading the possibility of having some sort of a  
3 specification that would allow you to do something about what your  
4 raw material is coming in the door?

5           MR. MAAS: I would probably rely on your specification. You  
6 understand that I rely on the USDA products that I buy that when they  
7 come in -- you know -- even with regards to -- in our city we have  
8 several further processors. I don't know why in Cincinnati but there's  
9 just a bunch of little companies that are -- I believe we have over  
10 twenty inspectors in just the greater Cincinnati area. But we  
11 actually had a positive O157 at a guy much smaller than I am quite  
12 frankly -- you know -- he -- further processor -- just buys a few  
13 boxes of beef and grinds it up and makes patties out of it and the  
14 agency was directing -- you know -- random sampling. He got picked  
15 and came back positive. He discarded the load and everything else  
16 like that but I'm not sure that it was -- you know -- he got -- they  
17 were talking about involving the media in this whole mess and this  
18 guy had nothing to do with that -- you know -- from a practical  
19 standpoint so luckily they were able to keep it out of the media but --  
20 you know -- that's processor's talk but other than that. So it was a  
21 touchy situation and so then I asked that question again. I'm a further  
22 processor. The meat that I get got's the USDA stamp on it. I cannot  
23 lower the micro count. I simply have no means to do that. I don't  
24 know have the couple million dollars it takes to put a stein up and in  
25 and the whole deal and I sell my products raw and do a good job at it  
26 and I sell a lot of it quite frankly and I'm not crying poor, I do well,  
27 but I depend on the products that I grind -- you know -- being

1 wholesome when they hit me and it's the only thing I can do. I don't  
2 mean to really to continue this but in case anybody really wonders  
3 why I keep hammering this why me thing is because I buy my meat  
4 frozen, I temp it to twenty, I grind it frozen, I grind it out frozen, I  
5 patty it frozen, it goes in a spiral and goes right back in the box. I  
6 mean I'm not worried about this a bit. Really. You understand? At  
7 least in that operation there's no way that I can do anything to -- you  
8 know -- cause a micro problem -- you know -- I am -- I don't mean to  
9 say that -- okay -- I don't mean to --

10 MR. BILLY: We'll talk later.

11 MR. MAAS: I'm sure there's lots of ways but in general -- you  
12 know -- I do what I can to decrease -- personally -- I mean this was  
13 not an accident. I intentionally developed a procedure that lowers my  
14 chance of problems. I have that flexibility and chose to follow that.

15 MR. BILLY: Angie?

16 MS. SIEMENS: Angie Siemens with Oscar Meyer. I'd like to go  
17 back to Katie's original bringing up the patties versus the carcass. It  
18 goes back to Ken's original message that I don't think there's one test  
19 that fits all programs so there's several of us in the industry that do  
20 a total flow through of carcasses into cooked product. I think there's  
21 some examples there that the testing -- if we choose -- and we've  
22 got some product that does go into a few raw products I believe we  
23 should have flexibility to have HACCP offers us to put that testing  
24 verification in where it best fits and in many of our occasions it may  
25 be at our ground turkey versus not as efficient for us to do all of our  
26 ground -- excuse me -- all of our carcasses where the majority of  
27 those are going to be cooked. So I encourage you to consider that



1 there is some -- what I would believe some flexibility in verification  
2 due to our specific product, plant, characteristics and that, again, one  
3 test doesn't fit -- you know -- all plants on that part.

4 I'd also like to go back to the compliance question that you had  
5 on Lee. I believe we should have some flexibility if a plant is moving  
6 towards trying to find out why you're not being verified within the  
7 limits of your E. Coli test we have a flexibility. I've done the same  
8 thing Jim has done -- worked my tail off trying to chase a bug and it  
9 just disappears and you have no reason -- you know -- to know why it  
10 did. They're creative creatures and it does take some time and that  
11 one test -- you know -- from our standpoint shouldn't put us in a non-  
12 compliance parameter and I don't know if it makes it very warm and  
13 fuzzy or very difficult just tell your inspectors what is at the point  
14 that that plant is not trying to comply with improvement or not  
15 trying to comply with improving your E. Coli position but, again, it's  
16 not an easy task when you're trying to chase micros down and figure  
17 out why a situation is occurring.

18 MR. BILLY: Paul?

19 MR. VINDERLINDE: My name is Paul Vinderlinde. I represent the  
20 CSI right from Australia. I just wanted to take up a point that Joe  
21 made when boxed beef comes into his plant he has no control over the  
22 quality. I just wondered what the agency -- are they planning on  
23 setting any baseline limits for pathogens in boxed beef? I mean  
24 because Australia exports somewhere in the region of twenty million  
25 cartons of boxed beef to this country. Australia will eventually have  
26 to comply with whatever the domestic market here comes up with.

27 MR. TAYLOR: And that's the easy answer to your question is

1 whatever standards and requirements we impose to domestic  
2 producers will apply to those who seek to export here. Yeah, I mean,  
3 our proposal really didn't apply to boxed products -- I mean didn't  
4 apply to pathogen targets to boxed products.

5 MR. VINDERLINDE: That's our main export product to the U.S. is  
6 boxed beef.

7 MR. TAYLOR: The equal or now equivalent system that you have  
8 would mean that if we have a pathogen reduction target, for example,  
9 for -- you know -- beef then the equivalent system in an exporting  
10 country would also have a pathogen reduction target with a similar  
11 compliance mechanism. I mean I'm just giving you the full answer.

12 MR. BILLY: See, the context of all this, what you're talking about  
13 is process control and verification process control and so the  
14 question about a particular product, if you will, boxed beef needs to  
15 be looked at in my mind in the context of where it's produced and  
16 what conditions it's being produced under and whether that process is  
17 under control and so that's why I was pausing to think about whether  
18 there is anything unique about boxed beef. I think the answer's no. I  
19 think that this about improving the safety of products and  
20 accomplishing that through process control.

21 MR. VINDERLINDE: So I mean if we're running under HACCP and  
22 showing control over carcass beef we wouldn't necessarily have to be  
23 testing boxed beef being exported as long as you're under a HACCP  
24 system.

25 MR. BILLY: I think if testing or part of your system of process  
26 control and verification --

27 MR. VINDERLINDE: I mean testing at this end. I mean we would

1 test it as part of quality control HACCP system.

2 MR. BILLY: Interesting.

3 MR. VINDERLINDE: Think about it. We can get on with the  
4 discussion. Thank you.

5 MR. TAYLOR: Good question. Thanks.

6 MR. BILLY: Good question. I don't know how to -- I don't have  
7 any -- anyone in line here so I think it's time for a break. Let's take a  
8 fifteen minute break.

9 (A brief recess was taken)

10 MR. BILLY: I think we can probably wrap up today's session  
11 pretty quickly. There's one last billet item that we haven't addressed  
12 yet and that's laboratory accreditation and at the scoping session -- I  
13 guess it was a couple of weeks ago -- we -- it was suggested that we  
14 add an item on laboratory accreditation under the section so I'd like  
15 to open the mike up for any discussion that people would like to have  
16 with regard to that question.

17 MS. DEWAAL: I have a question. -- -- frequency of testing?  
18 (microphone not hooked up)

19 MR. BILLY: Probably not in any detail. I think it -- in the  
20 context of what it would take to verify would be driven by the  
21 circumstances of the situation. But we can talk about that as well.

22 MS. DEWAAL: Well you proposed in your proposal one sample a  
23 day. Can you tell us what the current thinking of the Department is?

24 MR. BILLY: I think it would be fair to say that beyond the notion  
25 that we could consider that model as one option we acknowledge that  
26 there were -- you know -- as we said in the paper for today -- a  
27 number of suggestions about basing it on production -- or volume or

1 other bases that would accomplish the -- accomplish both a cost  
2 impact and a -- reduce the size of the windows in terms of what was  
3 proposed in the Register. We were looking at all of those options as  
4 part of the consideration of our current thinking on using E. Coli and  
5 using a pathogen targets for our verification. To be honest, our  
6 thinking has gone a lot beyond that at this stage. It will now, based  
7 on the discussions we had here today.

8 MS. DEWAAL: Will we be given an opportunity to hear your  
9 thinking maybe at the next round of meetings?

10 MR. BILLY: One of the -- one of the ways to deal with that would  
11 be to incorporate it into the discussions in terms of compliance, what  
12 would be expected of industry, what would be expected of us, and  
13 build it into that discussion.

14 MS. DEWAAL: Or as a separate discussion on that day?

15 MR. BILLY: Separate. Sure.

16 MS. DEWAAL: That would be fine.

17 MR. BILLY: Okay. Jim?

18 MR. HODGES: I just want to follow up a little bit on what was  
19 said before the break and even somewhat this morning. We had urged  
20 the agency to keep in mind that in any HACCP program has to be  
21 product and process and plant specific. I think that embodies the  
22 concept that we need some flexibility in these programs of where you  
23 do your microbiological testing and how much you do it and so forth.  
24 The examples have been put on the table. If you're a slaughter and you  
25 move all your product into cooked product that's an entirely different  
26 set of circumstances than one where you are producing all raw  
27 product for the marketplace. If you're producing product to go to

1 export that has limitations on certain anti-microbial treatments as  
2 an example. That's another set of circumstances so the flexibility  
3 that has to be built in the system is what the industry has the  
4 original concept is HACCP programs are not -- are not identical.  
5 Consequently, the verification or validation of those programs is not  
6 all the same. If you're -- I would contend that, as I said before lunch,  
7 that if there's a performance standard to be established that  
8 performance standard and most appropriately is E. Coli or other kinds  
9 of indicator organisms that is by all accounts the best measurement  
10 of process control and clearly through your baseline studies over the  
11 course of a period of time you can establish some guidelines for  
12 regulatory consideration on E. Coli -- generic E. Coli and what that --  
13 Mr. Taylor posed a question of what kind of regulatory action would  
14 you take. Well, the first thing is that you need to be sure that you  
15 have enough samples in your sampling plan to have some confidence  
16 that there is -- that this plant is producing at some level that is not  
17 -- not in accordance with established standards. Secondly, I would  
18 suggest that one of the regulatory actions that would be most  
19 appropriate is if you have these technical teams that do an audit of  
20 that plant would be audit of that HACCP program would be quite  
21 appropriate. It still seems to me that we're going to have an awfully  
22 difficult time of establishing a performance standard based on  
23 pathogens for all of the reasons that we've stated here. Clearly, the  
24 baseline numbers can be used as a measurement of the success of  
25 your regulatory programs, they can be measured as a success or  
26 failure, if you will, of the -- of the general nature of whether the  
27 industry is improving or not but on a plant specific basis there's way

1 too much variability in those numbers to get very close to a  
2 regulatory standard. You've got to look at the very dynamic nature of  
3 HACCP and how the process is intended to be an involving and  
4 improving process and under those kinds of conditions the appropriate  
5 regulatory action is to basically say is that plan operating as desired.  
6 It's very, very difficult for me and I think you heard from most people  
7 in the industry it's very, very difficult for us to envision a system  
8 where you have pathogen numbers that by default become an  
9 invalidation or validation, either way you want to look at it, of the  
10 HACCP program itself. Indicator organisms is the way to go in our  
11 opinion.

12 MR. MARSDEN: Tom, can I address this issue?

13 MR. BILLY: Sure.

14 DR. MARSDEN: Jim Marsden with Kansas State University. As I  
15 understand it, FSIS has an accreditation program for chemistry  
16 laboratories but not for microbiology. They recognize particular  
17 methods on the microbiology side and it seems to me as we get into  
18 what probably will result in a much greater level of industry  
19 microbiological testing the agency should consider an accreditation  
20 program for microbiological laboratories as well. It would give  
21 companies some comfort when they go to a laboratory that they're  
22 going to get proper results and so on and also in my view would add to  
23 the credibility of any data coming out of independent laboratories if  
24 they were backed up by this type of accreditation program.

25 MR. BILLY: Dane.

26 MR. BERNARD: Thank you. I was curious -- I was at the scoping  
27 meeting when this topic came up and it was kind of toward the end of

1 the day but not being in disagreement that certification is a good  
2 idea. Are we talking about something different than what you already  
3 do? I mean we have laboratories that are, including our's, who are --  
4 you know -- accredited through USDA to run USDA -- to run official  
5 samples and are we talking about something in addition to that or is  
6 that what the program envisioned?

7 MR. BILLY: I think it is important to make it clear that in terms  
8 of what the we are talking about we stated in the proposal that it  
9 wasn't our plan to have an accreditation program but we're open to  
10 comment since it was suggested at the scoping session that this item  
11 was important to put on the table for discussion. We've done that.  
12 But that should not be interpreted as necessarily a change in our  
13 position. We are certainly open to comment and suggestion in this  
14 area.

15 MR. BERNARD: If I could just talk to the comment then. I think  
16 that having officially recognized laboratories as you do now should be  
17 sufficient.

18 MR. BILLY: Okay. Katie?

19 MS. HANNIGAN: Katie Hannigan, Farmland Foods. I guess our lab  
20 is one of your recognized labs and I don't see the need for that for  
21 everybody in the industry if indeed the plants are going to be doing E.  
22 Coli testing and not salmonella testing. I don't -- the E. Coli testing  
23 is pretty straightforward. It's simple. I don't see that need unless  
24 we're talking about them having to do the salmonella test.

25 MR. TOMPKIN: I'd like to comment on that also. I agree with  
26 what Katie has just said. It was different if you had a salmonella --  
27 if you're testing for pathogen that's a different issue but the beauty

1 of running E. Coli is that it can be done within the plant and it means  
2 you're going to be certifying or accrediting laboratories in all of  
3 these plants and that's -- we want to encourage testing by plants.  
4 That way they can exercise -- they can develop the data themselves  
5 and then they're in control. That's important that they generate their  
6 own data and it should be encouraged. That would be a real roadblock  
7 to that.

8 MR. MARSDEN: Can I comment again then?

9 MR. BILLY: Sure.

10 MR. MARSDEN: Bruce and Katie, what do you think about  
11 accreditation for pathogen testing though? That's really what I'm  
12 addressing. Not plant E. Coli testing. I agree with you there but for  
13 pathogen testing USDA currently recognizes the capability of a  
14 laboratory to do that kind of testing and I think that should absolutely  
15 continue in my view.

16 MS. HANNIGAN: Yeah, I agree with that. I mean that's current  
17 program they have now. I just don't want to see accrediting  
18 laboratories for E. Coli testing.

19 MR. MARSDEN: And I agree with that also.

20 MR. TOMPKIN: And I agree with what Katie has said.

21 MR. BILLY: Caroline, it's up to you.

22 MS. DEWAAL: I want to offer my agreement with everything  
23 everyone said before me but -- but there's a but.

24 MR. BILLY: Now I want to make sure I understand. Is that for  
25 the previous two days?

26 MS. DEWAAL: We raised the issue of laboratory accreditation in  
27 our comments and I think in listening to this discussion I think we



1 would agree that E. Coli testing is simpler and probably can be done in  
2 the plants. However, the agency should consider recommending a  
3 specific testing methodology. Let's make sure that the tests are  
4 comparable, that the tests are accurate, that there are some  
5 minimum standards for what tests are used for the E. Coli.  
6 Otherwise, we're going to end up with a mess.

7 MR. BILLY: Any thoughts on that?

8 MR. TOMPKIN: Yeah, Tom. The expert panel from the  
9 Philadelphia meeting actually did over some guidance in that  
10 direction. While those particular recommendations may not be the  
11 final ones but at least that was the direction. Yes, there should be  
12 some. If we're going to have a national program we have to have an  
13 agreed upon method of sampling and analysis by species of animal or  
14 if ground products are included those products also.

15 MR. BILLY: Okay. Any other thoughts about laboratory  
16 accreditation by anyone? Okay.

17 That pretty well completes the program. I wanted to first ask  
18 our agency folks if there were any other questions that they had that  
19 they would like some input on in terms of what we covered today?  
20 Okay. And how about everyone else? Dane?

21 MR. BERNARD: Very quickly. Thank you. There was a couple of  
22 items that were discussed today and yesterday where in specific the  
23 request for data on the -- that the agency could look at and try to  
24 recognize some appropriate baselines and things like that. As I said  
25 yesterday when we were talking about generics, I think it would be  
26 our preference to have that process not be a closed door process.  
27 You've got the National Advisory Committee available where the

1 agency can certainly do a digest of the data but I think in looking at  
2 what is an acceptable baseline for whatever criterion it is we'd like  
3 to see that process be a little bit open so that -- you know -- we  
4 build some consensus behind these decisions so that whenever we get  
5 to the point of having a rule we don't run into these big debates over  
6 the specifics of the number. I think we can do some consensus  
7 building on the way if we just open the process up a little bit. And  
8 the same with the generic plans that are going to be worked on. I  
9 know there's plans to peer review this but I would ask that as soon as  
10 the agency has them in the kind of shape to be shared to where you're  
11 a bit comfortable with them, until we find out what kind of problems  
12 people might have with them, before we get to that deadline of saying  
13 this is an acceptable HACCP plan to the agency let's try to build some  
14 consensus with those and try to do that in a bit more of an open  
15 situation. Thanks.

16 MR. BILLY: Lee?

17 MR. JAN: I had one -- one question that still is still not fully  
18 answered and it deals --

19 MR. BILLY: You've done pretty well there.

20 MR. JAN: Yes I have.

21 MR. BILLY: Sorry.

22 MR. JAN: But it has -- it deals with the current thinking on this  
23 agency testing for salmonella. Is the current thinking to test -- the  
24 agency to test salmonella daily in each plant or at some frequency in  
25 each plant or to test what the industry -- kind of like on the national  
26 let's do monitoring program -- where you do random across the  
27 industry to see?

1           MR. TAYLOR: The current thinking does not include an answer to  
2 that. The current thinking is that the agency would have to maintain  
3 a testing activity and an overall compliance monitoring activity  
4 sufficient to provide an incentive for plants to actually work to  
5 achieve the target and to give us confidence that we know who's  
6 achieving it and who's not and that doesn't necessarily mean daily  
7 sampling and, in fact, it probably -- there probably are better ways  
8 than the single sample on a daily basis for doing that. Perhaps there  
9 are. And so we don't -- again, you saw our performance standard but  
10 how we would figure out to do that is a work in progress and we just  
11 don't know the answer. We welcome suggestions during the next --  
12 you know -- few weeks of an open comment period.

13           DR. MORRIS: Mike, I think one thing we can say and I think you've  
14 said, just to re-emphasize, is the idea that there would be individual  
15 plant accountability.

16           MR. TAYLOR: Right.

17           DR. MORRIS: So we would not be looking at an industry-wide  
18 model. We would be looking at a model which in some way factored in  
19 plant accountability so we would know this plant is not meeting our  
20 targets.

21           MR. JAN: So to have usable data there you're talking about the  
22 agency doing a significant amount of salmonella testing.

23           MR. TAYLOR: That's fair to say.

24           MR. JAN: And that means mistakes. Make room in your lab for  
25 our samples.

26           MR. TAYLOR: The point it pretty well taken.

27           MR. BILLY: Unless there are things we can identify that you

1 could stop doing that would save the money to do the lab testing.

2 MR. JAN: And there are. I mean economic testing, we could stop  
3 doing those. But I don't think we do enough economic testing to make  
4 up the cost that would be for doing the salmonella testing.

5 MR. TAYLOR: We have noted the issue. You have raised a very  
6 important question and it will definitely be part of our thought  
7 processes. Thank you.

8 MR. BILLY: Barry? Barry?

9 MR. MARSHALL: Thank you, Mr. Chairman. I think Paul  
10 Vinderlinde from CSIR Australia brought up a topic just before smoke  
11 out. I thought it was appropriate. It's probably something I should  
12 bring up and he threw in the question even though it's actually going  
13 to be addressed at the next series of meetings but I really want to  
14 put something to the Administration just so that they're in a position  
15 to actually answer the question we're going to ask when it comes  
16 about and that's about -- there's a section there about international  
17 considerations -- export issues and import issues. And with regard  
18 to that, we would -- we, meaning New Zealand, and I'm sure Australia  
19 and everyone else for that matter, we understand that we need to  
20 meet the same standards that are addressed locally here in this  
21 country and so we will be obviously putting in place systems to meet  
22 the requirements so we actually get to the end point that everyone's  
23 satisfied. And this, of course, means not only verifying or validating  
24 and verifying the systems amongst others but a whole range of other  
25 things. With the philosophy of certainly international programs or  
26 FSIS of actually targeting a hundred percent of consignments coming  
27 in and looking to make sure that the products -- you know -- the

1 documentation's right -- we would be more than concerned if  
2 imported product was going to be targeted and tested. We wouldn't  
3 mind if it was on a vague random base or infrequent random bases but  
4 it was going to be done on a consistent basis we wouldn't be happy at  
5 all simply because that would imply that if our systems are accepted  
6 in the exporting country, we're meeting all the requirements, have  
7 processes in place giving everyone the confidence, then we would  
8 expect that the meat that is produced to be treated to the same  
9 manner that local meat is produced and that once a plant has had its  
10 systems approved and it's showing it's competent and it's producing  
11 this product it's not being tested further down the track and action  
12 taken against it. And the same thing would probably apply that  
13 because we are an exporter and we do the same thing for meat going  
14 on the local market I assume that the same standards would apply for  
15 export meat regardless of what the requirements are of the importing  
16 country which this country exports do. So those are some issues that  
17 I would like you to perhaps think about and I'll ask them again in a  
18 couple of weeks. Thanks.

19 MR. BILLY: We, in fact, plan to have a paper that addresses this  
20 international -- these international aspects. Dennis?

21 MR. JOHNSON: Dennis Johnson, Olson, Frank, and Weda. It's not  
22 really a comment or even a question. It's just a request for a favor. I  
23 think I've been taking fairly good notes throughout all this but there's  
24 still a lot of current thinking which is being thought on. And I was  
25 wondering before we take up compliance the last day of these  
26 sessions if it would be possible to have another briefing paper  
27 perhaps to set out in a little more detail your compliance in terms of

1 the testing requirements. We really would appreciate it.

2 MR. TAYLOR: We'll think about it. We'll try. We want to come  
3 back to some of these issues and if it's possible and will be helpful  
4 we'll try to do that but let's just see how it goes next few days.

5 MR. JOHNSON: Like I say, we'd appreciate it.

6 MR. TAYLOR: Reasonable request.

7 MR. BILLY: Okay. Caroline?

8 MS. DEWAAL: One more question. What's your current thinking  
9 on when you will have the final rule?

10 MR. TAYLOR: Someone said we had a deadline of December 31st  
11 which sounds good to me -- you know -- let me just say that's been  
12 our goal and remains our goal and we're working -- I think we have  
13 witnesses who will corroborate we're working very hard to achieve  
14 that and my personal view is that we're not at the end quite yet so I  
15 won't make my concluding remarks but I think this process actually  
16 will help us get there by then and so I'm increasingly -- I mean I  
17 remain very optimistic we will achieve that. I wonder if the L&B  
18 staff are still in the room. They have a certain contribution to make  
19 to the time.

20 MS. DEWAAL: I hope it does but I hope this process doesn't delay  
21 the rule getting out.

22 MR. BILLY: Lou?

23 MR. GAST: Lou Gast. Tom, would you care to speculate on when  
24 the issue papers for the next session will be available?

25 MR. BILLY: No, I don't care.

26 MR. GAST: May I rephrase? Would you speculate on when they  
27 will be available?

1 MR. BILLY: I think I'll let Mr. Taylor here handle that.

2 MR. TAYLOR: Our goal is to have them available prior to the next  
3 meeting as we did this time. They're just in time papers.

4 MR. BILLY: I can say this, this meetings are well over a week  
5 away. I can say this, that the agency -- there are drafts of those  
6 papers and that wasn't true a week ago in terms of preparing for this  
7 week so I would take that as a good sign.

8 MR. MAAS: I wonder if they would be available at the AMI?

9 MR. BILLY: It's possible. There are several of us heading in that  
10 direction. If they're available we can --

11 MR. MAAS: -- -- (no microphone).

12 MR. BILLY: That's a good point. And we do have a distribution  
13 system for all the interest groups so -- you know -- we're prepared  
14 to give them out if we can get them ready and cleared.

15 All right. I think we're finished. I'd like to thank everyone very  
16 much. You want to make a wrap? Okay. Mike.

17 MR. TAYLOR: I just want to thank everyone who's participated.  
18 This is -- three days has been very, very valuable for us and the  
19 effort that everybody has put into it I personally greatly appreciate  
20 both from our agency and from all of the groups that have been --  
21 individuals who have been -- who have been here. We have duly noted  
22 those of you who have stayed till the end and there will be some  
23 reward. It may come in the next life but I'm sure that there will be a  
24 reward for having stayed till the end. We appreciate it. Have a good  
25 weekend.

26 MR. BILLY: Thanks.

27 (Whereupon, at 4:28 p.m., the meeting was concluded.)

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12



1